

DRUG UTILIZATION REVIEW BOARD

Agency For Health Care Administration

Tampa Marriott Westshore

Thursday, March 23, 2017

2:08 - 4:18 p.m.

REPORTED BY:

JUANITA ANNETTE BUTLER
INTEGRA REPORTING GROUP, LLC
Stenographic Court Reporter
Notary Public, State of Florida

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

APPEARANCES:

BOARD MEMBERS:

Moses Allen, Pharm. D. (Vice-Chair) (Acting Chair)
Larry Field, D.O.
Vanessa Goodnow, Pharm D.
Anna Hayden, D.O.
Kevin Olson, Pharm.D.
Alfred Romay, Pharm.D.
Luis Saenz, D.O.
Amy Zitiello, D.O.

AHCA STAFF:

Shevaun Harris, Assistant Deputy Secretary
Medicaid Policy & Quality
Kevin Dewar, Esquire, Assistant General Counsel
Vern Hamilton, AHCA Liaison
Arlene Elliott, R.Ph.,
Medicaid Pharmacy Policy Administrator
Sara Craig, Pharm.D., Senior Pharmacist

MAGELLAN MEDICAID ADMINISTRATION:

Elboni Moore, Pharm.D.
Selika Sampson, Pharm.D.
Stephanie McGriff, Pharm.D.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

I N D E X

	PAGE
Greetings and Introductions	4
Opening Remarks	4
Approval of DUR Minutes from January 12, 2017	8
Review of P&T Minutes	8
Quarterly DUR Activity Reports	9
Public Comment	67
Adjournment	110
Reporter's Certification	111

1 P R O C E E D I N G S

2 THE CHAIRPERSON: The time is now 2:02 and
3 we'll be starting our First Quarter AHCA DUR
4 Meeting. For those of you who are AHCA DUR
5 geeks, this is actually the first quarter
6 meeting, even though it's the second meeting of
7 the year, because the fourth quarter meeting
8 was held in January.

9 All right. Before we get started, we're
10 going to have opening remarks from our deputy
11 secretary, Shevaun Harris.

12 MS. HARRIS: Good afternoon, board members
13 and audience members. Thank you for taking
14 time out of your busy schedules to participate
15 in this board meeting to assist the agency.

16 I have just two updates for you. We are
17 in the process of working on the re-procurement
18 of our statewide Medicaid Managed Care program.
19 For those of you who follow what's going on in
20 managed care, I wanted to let you know about
21 that. We plan to issue our solicitation over
22 the summer, so keep an eye out for that.

23 The agency has put out an invitation for
24 any interested parties to let us know of their
25 interest and we received quite a bit of

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 feedback. And if you're interested in the
2 results of that, it's posted on our website.
3 We can get that out to the board members if
4 they're not quite sure where the link is on our
5 website or what page it's posted on on our
6 website.

7 The other thing, I think probably Arlene
8 gave you updates at the last meeting. But if
9 you're not aware, we have solidified our
10 leadership team at the agency. Our secretary,
11 Justin Senior, was appointed by the governor.
12 He moved out of an interim role in January, I
13 believe. And Beth Kidder, my supervisor, was
14 named Medicaid director for the state of
15 Florida. And I was recently promoted to
16 assistant deputy secretary for Medicaid Policy
17 & Quality.

18 So my position as bureau chief over
19 Medicaid Policy is vacant. I'm working to fill
20 that position and hope to still participate in
21 these meetings as frequently as possible, but
22 you probably won't see me as much as you have
23 in the past.

24 We are in the middle of a legislative
25 session. I will note that, too. The agency is

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 tracking quite a bit of activity that's
2 happening. We've had several bills filed that,
3 if enacted, would impact our Medicaid Managed
4 Care program. Some activity around pharmacies
5 and how our health plans contract with
6 pharmacies as well. So the agency is just
7 really tracking, at this point, how those bills
8 are working their way through the committee
9 process. And when we meet in June, we will be
10 able to give you an update of any bills that
11 passed that have any major impacts on the
12 Medicaid program, in particular, our prescribed
13 drugs benefit.

14 Any questions for me?

15 Thanks.

16 THE CHAIRPERSON: Outstanding. And
17 congratulations on the promotion. I think at
18 this time, since we know who you are, I'd like
19 to go ahead and have introductions for the
20 remainder of the committee, starting with
21 Stephanie.

22 DR. MCGRIFF: Good afternoon, everyone.
23 I'm Stephanie McGriff. And I'm clinical
24 account manager for Magellan Health Services.

25 DR. SAMPSON: Good evening. I'm Selika

1 Sampson, and I serve as the DUR pharmacist for
2 Magellan Healthcare.

3 DR. MOORE: Good afternoon. I'm Elboni
4 Moore. I'm with Magellan and I'm the pharmacy
5 account executive.

6 MS. ELLIOTT: Arlene Elliott with AHCA
7 Pharmacy Policy.

8 DR. CRAIG: Good evening -- or good
9 afternoon. I'm Sara Craig and I'm a senior
10 pharmacist with the Agency of Healthcare
11 Administration.

12 MR. HAMILTON: I'm Vern Hamilton with the
13 agency. I serve as the liaison for these
14 meetings.

15 MR. DEWAR: Kevin Dewar, Medicaid counsel,
16 Agency for Healthcare Administration.

17 THE CHAIRPERSON: Moses Allen, director of
18 pharmacy, Magellan Complete Care.

19 DR. FIELD: Larry Field, practicing
20 physician.

21 DR. GOODNOW: Vanessa Goodnow, director of
22 pharmacy services at Jackson Memorial Hospital
23 in Miami, Florida.

24 DR. HAYDEN: My name is Anna Hayden. I'm
25 a family practitioner in Ft. Lauderdale,

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 Florida.

2 DR. OLSON: Kevin Olson, pharmacist out of
3 Tampa, Florida.

4 DR. ROMAY: Alfred Romay, director of
5 pharmacy, Molina Healthcare of Florida.

6 DR. SAENZ: Luis Saenz, medical director
7 of Molina.

8 DR. ZITIELLO: Amy Zitiello, pediatrician
9 and medical director of Avalon Healthcare
10 Solutions.

11 THE CHAIRPERSON: Great. Okay. We're
12 going to go ahead and jump right into the
13 agenda. As always, our stenographer does a
14 great job of preparing the minutes. At this
15 point, I'd like to ask the committee to review,
16 and if it meets your approval, I'll need the
17 motion to approve.

18 DR. HAYDEN: Motion to approve.

19 DR. FIELD: Second.

20 THE CHAIRPERSON: It's been properly moved
21 and seconded. All those in favor, please say
22 aye.

23 THE COMMITTEE: Aye.

24 THE CHAIRPERSON: All right. Moving on.
25 Review of the P&T minutes, as we know,

1 this item is for information only, so we don't
2 need an actual vote. So at this time, I just
3 wanted to ask if there are any questions or
4 concerns with those minutes.

5 Great. Hearing none. We're going to go
6 ahead and move on to the Quarterly DUR Activity
7 Reports. And at this point, we'll hand it over
8 to Selika and Elboni.

9 DR. SAMPSON: Good afternoon. Without any
10 further delay, we're going to move right into
11 our review for this quarter.

12 Quick overview. Today we are going to
13 follow up on our previous DUR items. In
14 addition, to discuss the first quarter DUR
15 activities and also decide on second quarter,
16 2017, DUR activities.

17 The first topic is Growth Hormone. This
18 was a top therapeutic class review, previously
19 reviewed at the January 2016 DUR meeting. The
20 data at that time was fourth quarter 2015 data.
21 And what we had to do here was follow up on
22 that report due to the auto PA logic being --
23 the auto PA logic for preferred growth hormone
24 products diagnosis verification was removed
25 from the fee-for-service side, March 2014, 2016

1 and the post-implementation data was shared at
2 the June 2016 meeting.

3 The post-implementation data, once that
4 was removed, you have the clinical PAs that
5 were reviewed for April 1st, 2016 through June
6 30, 2016, and, at that time, it revealed a bill
7 of \$3 million total amount paid over 817 claims
8 for 354 users. So there you can see the
9 decrease.

10 And on the MCO side, due to implementation
11 for the MCOs, when that happened for them,
12 their numbers remained steady. They got more
13 recipients during this time as well.

14 Our next topic, Vesicare, Toviaz, minimum
15 age limit of 18 years old. The purpose of this
16 review was to address the misuse of long-acting
17 agents in the pediatric population. The added
18 details are as follows: Vesicare and Toviaz
19 are not indicated in children. Recipients must
20 be at least 18 years or older for either of
21 those two products, and claims for Vesicare and
22 Toviaz are directed to the preferred
23 alternatives for children.

24 The fee-for-service and MCO utilization
25 has significantly decreased 64 percent and 84

1 percent, respectively, 78 percent decrease
2 collectively.

3 The prior authorization intervention for
4 pediatric recipients under the age of 18 years
5 is working. There were 54 claims at roughly
6 \$15,000 and also 58 claims for \$16,000 on the
7 MCO side.

8 Now, we'll take a look at the breakout for
9 Toviaz. The pre-edit for children under 18
10 years of age for fee-for-service, it was a
11 small population, but, again, the reason why
12 the edit was done was because it's not
13 indicated for children under 18.

14 And so, you can see there, again, that the
15 intervention is working. Utilization has
16 decreased overall. Relatively 60 percent for
17 the fee-for-service and 76 percent for the MCO.

18 And when we take a look at Vesicare, that
19 agent, again, you have a smaller population.
20 Fee-for-service, overall utilization decreased
21 relatively about 66 percent and MCO relatively
22 about 83 percent.

23 Now, during the January 2017 P&T meeting,
24 the PT committee recommended for the DUR board
25 to review vasopressin receptor antagonists. At

1 that time, they asked for DUR to review it due
2 to current utilization. And so, we took a
3 deeper look into this particular class.

4 Vasopressin receptor antagonists are used
5 to treat hypovolemic and euvolemic
6 hyponatremia. So overall, it helps recipients
7 with diseased states, such as hyponatremia as
8 well as hypovolemia.

9 The population reviewed was a small
10 population on the fee-for-service side. There
11 was only one prior authorization done during
12 the review period, January 2016 through June
13 of -- I'm sorry, that should have been
14 December. It was a whole year. There was only
15 one PA on the fee-for-service side. And on the
16 MCO side, for that entire, the claims total
17 \$239,861.

18 Currently, Florida Medicaid does have
19 criteria for the oral product that is
20 available.

21 Vaprisol is the other agent in this class
22 that is available and it does not currently
23 have criteria. The P&T committee, at that
24 time, wanted the DUR board to review the class
25 in its totality. So currently you have one

1 agent which is available and there is clinical
2 criteria available. And then you have another
3 agent in which we do not have any criteria at
4 this time.

5 Our next topic that we're following up on,
6 the opiate dependents treatments, agonists and
7 antagonists. During the P&T committee for
8 January 2017, the committee desired for the DUR
9 board to determine how fee-for-service and MCO
10 recipients were utilizing the medication
11 Subutex, buprenorphine, the single agent
12 medication. Did the recipients have a
13 pregnancy diagnosis in addition to their need
14 for the medication? The indication for
15 buprenorphine with naloxone, the combination
16 product, SUBOXONE, versus the single agent
17 product, Subutex, preferred for maintenance
18 therapy in medication assistance treatment
19 patients.

20 The World Health Organization recommends
21 buprenorphine mono therapy without naloxone for
22 women who must receive an opioid agonist during
23 pregnancy or while nursing.

24 So this came back to the DUR board due to
25 the high utilization of the single agents

1 medication and they wanted to know, Well, do
2 these recipients have a diagnosis of pregnancy,
3 which would mean they should more likely get
4 this particular medication versus the
5 combination product? The utilization revealed
6 only 14 percent of the fee-for-service claims
7 have a diagnosis for pregnancy or nursing. And
8 only 23 percent of the recipients reviewed --
9 of the MCO recipients have a diagnosis for
10 pregnancy or nursing.

11 Now, we might add that each claim does not
12 come with a diagnosis attached to it. So we
13 have to do a two-year look-back from the study
14 period. Both fee-for-service and MCO data was
15 reviewed for October 1st through December 31st
16 of 2016 within those respective populations.

17 THE CHAIRPERSON: I have a question.

18 So this is good information. Obviously,
19 looking at this from a resolution standpoint,
20 would it be possible to take a look at a gender
21 edit for this? I think I would be curious to
22 know if some of the non-pregnant members that
23 received the Subutex, if they were men. If
24 that were women -- at least the scenario that I
25 picture in my mind is, a member comes on

1 board -- let's just say it's January and the
2 member is pregnant January. I think if I
3 recall, it's a six-month approval that you
4 generally give this agent.

5 DR. SAMPSON: Roughly. It varied.

6 THE CHAIRPERSON: So the PA comes in for
7 review again at Month 6. Then you approve it
8 for another six months and they could, in
9 theory, fall into this non-pregnancy category,
10 which -- I mean, it is what it is. If they're
11 a woman. But if they're a man, they shouldn't
12 be on it at all. I mean, I can't think of a
13 reason why a male would need to be on the
14 Subutex.

15 DR. SAMPSON: Right. Other than them
16 stating they have some type of reaction --

17 THE CHAIRPERSON: To Latoxin, right.

18 DR. SAMPSON: Right. And so, when we --

19 DR. HAYDEN: Selika?

20 DR. SAMPSON: Yes.

21 DR. HAYDEN: Isn't that another indication
22 for induction, so that could --

23 DR. SAMPSON: Right. That could be it
24 too. Correct. They have both of those there.
25 And what I was going to say is Florida Medicaid

1 fee-for-service side, they do look at all
2 aspects of that for the indication. And if it
3 is a female, then she must also have any proof
4 there, if she falls out of the other category,
5 that she is either pregnant or nursing.

6 THE CHAIRPERSON: So just to make sure
7 that I'm clear: On the actual PA, we're fine
8 with this one, or are we taking
9 recommendations?

10 DR. SAMPSON: At this point, when you look
11 at the data, the fee-for-service side actually
12 had lower utilization in that population.
13 Whereby, the Subutex category, if the recipient
14 was pregnant and/or nursing, the utilization
15 there for total paid was only 765 for
16 fee-for-service recipients. And that category
17 had relatively -- the recipient numbers were
18 low there. Whereby, when you look at it from
19 the MCO side, their total utilization there was
20 54,000. And they had more recipients, whereby
21 it was mixed, male and female.

22 So the recommendation was to go back and
23 look at the prior authorization process because
24 both medications do require a prior
25 authorization. And there's lower utilization

1 on the fee-for-service side. So it would be
2 back in the hands of the MCOs.

3 The next topic was the Narcan naloxone
4 product. Again, another P&T activity. During
5 the January 2017 meeting, the P&T committee
6 asked for the DUR board to establish quantity
7 limits and criteria for Narcan nasal spray.
8 The indication for Narcan nasal spray. It is
9 an emergency treatment of known or suspected
10 opiate overdose as manifested by, of course,
11 respiratory and/or central nervous system
12 depression. The current quantity limit set is
13 one pack, two nasal sprays every 365 days.
14 That was established as the quantity limit.
15 Subsequent treatment would require a prior
16 authorization at this time.

17 We did take a look, in terms of
18 utilization, during a year period and there was
19 little to no utilization on both
20 fee-for-service and MCO sides. So, as it
21 stands, the quantity limit that's currently set
22 is one pack, two nasal sprays, every 365 for
23 Florida Medicaid recipients.

24 Our last follow-up prior to going into
25 quarterly activity information is the gender

1 dysphoria. This was a third quarter add-on
2 agenda item that the state presented.

3 And, at this time, I will turn it over to
4 Ms. Elliott.

5 MS. ELLIOTT: So in the last meeting, we
6 distributed the criteria and we were going to
7 bring it back to this meeting to see if the DUR
8 members had any recommendations. Remember that
9 is a special service criteria. It's not a
10 regular criteria. This is separate than the
11 other criteria that have FDA limitations. So
12 this was, like we call it, a special service.

13 So I'm going to open it for discussion, if
14 I may.

15 DR. HAYDEN: I have a question on the
16 logistics behind it.

17 So it's not a covered Florida Medicaid
18 item. It goes under special services. Where
19 does it come out of the, I guess, budgetary --
20 it's a separate item. And is it in our purview
21 for Florida Medicaid to look at -- is it in our
22 scope to look at this information because it's
23 not a Florida Medicaid item?

24 MS. HARRIS: So there are federal
25 regulations that require the agency and all

1 State Medicaid programs to cover services that
2 are medically necessary for recipients under
3 the age of 21. I generically call them
4 "children" even though the 18-to-20 population
5 are adults.

6 This is through the early and periodic
7 screening diagnosis and treatment regulations
8 that the federal government has established.
9 We call it EPSDT. You might have heard us use
10 that terminology before.

11 DR. HAYDEN: Right.

12 MS. HARRIS: Even if something is not
13 covered under Florida Medicaid, we have to have
14 a process in place to review and determine if
15 the request is medically necessary if it's not
16 listed on our fee schedule or on our PDL. For
17 most of our drugs, if it's not on our PDL -- or
18 for almost all drugs, if it's not on the PDL,
19 we have prior auth criterion in place. And we
20 look at whether or not the FDA has authorized
21 it or it's authorized through one of the
22 compendia.

23 When we brought this to the DUR board, it
24 was because these drugs were being used
25 off-label. We had requests for an off-label

1 use of the drug, not supported by the FDA, not
2 authorized through the compendia.

3 So we needed to make sure the agency, in
4 its plans, had criteria that they could use
5 when such requests come in to ensure we were
6 reviewing it under the EPSDT guidelines.

7 So it's not about what budget line it
8 falls into or not. It's really about making
9 sure we have solid criteria, so that we can
10 remain in compliance with the federal regs that
11 state that states need to have processes in
12 place for these outlier types of requests and
13 it doesn't happen that often with drugs.
14 Actually, in my years with Medicaid, this is
15 the first time.

16 DR. HAYDEN: What are the medications? I
17 guess I'm not quite familiar with the -- I
18 mean, I've heard of it. I've seen the name
19 across -- from years of working, but, I
20 guess -- and I understand we look at it, but is
21 it in the -- logistically, is it in our scope?

22 MS. HARRIS: Yes, it is.

23 DR. ZITIELLO: We would just hope that
24 health plans and other people making the
25 decisions will have some sort of consistency in

1 their decision making. Because, truly, under
2 the age of 21, you should not render a decision
3 for not being a benefit. It really needs to be
4 a medical necessity decision. So it's just --
5 it's the consistency factor, I think, you're
6 looking for.

7 MS. HARRIS: You said it well. Thank you.

8 THE CHAIRPERSON: There wasn't any
9 criteria previously, that this is being
10 introduced to take care of scenarios where --
11 essentially for the transgender situation and
12 maybe they may need hormone therapy.

13 And, essentially, you're looking for the
14 committee to take a vote, really, on this
15 initial criteria to address these issues.

16 DR. SAMPSON: Yes. So we brought it two
17 quarters ago. If I'm not mistaken, the
18 committee voted on the criteria that was
19 established by the agency, but the committee
20 had a request to have the agency bring the
21 criteria back for re-review. I don't believe
22 we've had any requests to actually use it, but
23 still we're honoring that request.

24 DR. HAYDEN: So on the criteria itself, I
25 looked at the information that was before us,

1 special services criteria. The only question I
2 had was this language about a mental health
3 provider. And I wasn't quite sure what that
4 meant. If it was a licensed clinical social
5 worker or a psychologist?

6 Because actually the prescription will be
7 coming from the endocrinologist from what I
8 understand, not the mental health provider.
9 Psychologists don't prescribe. Social workers
10 doesn't prescribe.

11 MS. HARRIS: Yes, because a part of the
12 prior auth process, the plan for the agency
13 would look to see that the individual or child
14 has an established relationship with a mental
15 health counselor, licensed clinician. It can
16 be a LCSW or a licensed psychologist. Because,
17 particularly with this diagnosis and condition,
18 there are a number of comorbid mental health
19 issues present, and we want to make sure that
20 those are being treated.

21 DR. HAYDEN: I got that, yeah. But the
22 guest of the prior auth is special services.
23 It doesn't clearly delineate who is -- it
24 doesn't say the endocrinologist is prescribing,
25 I guess.

1 MS. HARRIS: So are you requesting that we
2 add who is the prescriber?

3 DR. HAYDEN: Just a clarification. I
4 understand there's a clinical team, that the
5 patient is in care, and I understand that. But
6 putting a mental health -- just putting those
7 words in there gives them -- you know. Are we
8 giving them prescribing authority here?

9 I mean, it's kind of confusing when I read
10 the document. That's what I'm saying.

11 MS. HARRIS: Okay.

12 DR. HAYDEN: So it's just a further
13 clarification. Because it's the
14 endocrinologist who is ultimately responsible
15 for the -- with the team approach, of course.

16 Those are the comments. Thank you.

17 MS. HARRIS: Thank you, Dr. Hayden.

18 DR. SAENZ: But you still need that
19 psychologist or mental health standard because
20 part of the guidelines that were established
21 for gender dysphoria by this association, which
22 is, like, WPATH, they state that before the
23 trans -- because some of these kids may later
24 want to become full -- you know, do the gender
25 reassignment, so they still need to have this

1 before they get to that point. So it should be
2 the same hormones. There's some counseling
3 that needs to be involved. So I think --

4 DR. HAYDEN: That is fine. It's just that
5 I was a little bit confused. I wasn't sure if
6 the psychiatrist was writing the prescription
7 or was the endo, when I read the document and I
8 just wanted further clarity on that. That was
9 it.

10 DR. ZITIELLO: Could we say something like
11 "the appropriate prescribing provider as part
12 of the disciplinary team treating the patient,"
13 and that would cover any appropriate provider?

14 MS. HARRIS: Yes.

15 DR. HAYDEN: Do we make a motion for
16 approval then with the edits? I make a motion
17 to approve the language with the language that
18 Amy -- Dr. Zitiello --

19 THE CHAIRPERSON: Okay. Great. We have a
20 motion on the floor from Dr. Hayden. Can I get
21 a second?

22 DR. ROMAY: Second.

23 THE CHAIRPERSON: A motion has been made
24 and properly seconded. All those in favor say
25 aye.

1 THE COMMITTEE: Aye.

2 THE CHAIRPERSON: Motion passes.

3 DR. MOORE: I'd like to go back to the
4 vasopressin receptor antagonist, if we can.
5 There was some actionable items that the P&T
6 actually requested. And so I think we kind of
7 missed that as we were going through the
8 review. So I'll have Selika flip back to
9 SAMSCA.

10 There are two products in this class that
11 the P&T committee reviewed back in January, and
12 they asked for the DUR board to create some
13 criteria around these products. And when we
14 did further review, we realized that we had
15 criteria for the oral product, but not the IV
16 Vaprisol.

17 So it would mostly kind of be a class
18 criteria at this point because there's more
19 than one agent, and that's the IV product, but
20 it's supposed to be given in the hospital.
21 It's for a hospitalized patient.

22 So I think that if you-all want to move
23 that over to, like, medical services, so it can
24 be managed on that side of the business, I
25 think that's the most logical approach. But I

1 needed to be sure that you-all were aware of
2 that and so you-all can vote for that to occur.

3 DR. ROMAY: I think the current criteria
4 for the SAMSCA, we would just want to maybe go
5 back and look at it, see if it needs revisions.

6 DR. MOORE: Yes.

7 DR. ROMAY: I mean, not that I'm looking
8 to add more criteria points to it, but it's a
9 two-question criteria, so I think we might have
10 to look back to see if there's other
11 indications or anything --

12 DR. MOORE: Absolutely. We can do that
13 now, or do you want to take that back and then
14 review it and then let us know at the next
15 meeting or -- it's up to you-all. What do you
16 want to do? Your pleasure.

17 DR. FIELD: Is it also open for any
18 physician around or specifically for a
19 nephrologist?

20 DR. MOORE: It's open for any at this
21 time. The SAMSCA?

22 DR. FIELD: Yeah.

23 DR. MOORE: Any.

24 DR. SAMPSON: This is the current criteria
25 for the SAMSCA oral tablet, to the left, your

1 left. The recipient must be 18 years of age or
2 older. They must have a confirmed diagnosis of
3 hyponatremia, the serum sodium level should be
4 below 125 milliequivalents per liter, or the
5 serum sodium level must be greater than or
6 equal to 125 with symptoms and resisted
7 correction with fluid restriction noted in the
8 clinical notes. That is the current criteria.

9 DR. FIELD: Do we have data on what
10 physicians, meaning class of physicians -- who
11 is actually writing that? Is it nephrologists
12 already, or is it --

13 DR. SAMPSON: We do have that data. I can
14 provide that for you. At this moment, I
15 wouldn't want to readily say who they are, but
16 we do have that data, yes.

17 DR. FIELD: I'd like to see it.

18 DR. GOODNOW: Those lab values are just
19 single numbers? Do they require two
20 consecutive -- I think when we were talking
21 about more detailed, what we might want to
22 elaborate on is -- and we can definitely share
23 some criteria from different facilities, but
24 they may require, maybe, like, two consecutive
25 or that number being the last number that the

1 patient had, as opposed to ever having a number
2 at that level.

3 So I do think some enhancement would be
4 very effective.

5 DR. ROMAY: I agree with Dr. Goodnow. And
6 that's what I was referring to. Like, for the
7 second bullet point -- I mean, what's
8 "resistive correction"? How much time frame
9 does the member have to have that low sodium
10 before it's considered, you know, chronic,
11 where intervention is needed versus just other
12 things to correct it?

13 DR. GOODNOW: I think that this might be a
14 greater example of where the two teams can work
15 together because if the patient is initiated on
16 the inpatient side to make that process for the
17 patient a little bit more smooth. So there
18 might be a way for the medical side and the
19 pharmacy side to work together so that the
20 patient doesn't go without during that
21 transition period, that it's a little smoother
22 for all parties involved.

23 DR. FIELD: Do you also have the average
24 time period the patients are given the
25 medication? Are they on it for a week? Are

1 they on it for 30 days? Are they on it for six
2 months?

3 DR. SAMPSON: It's a short prior
4 authorization approval for the course of
5 therapy written. So it's not an extended
6 period.

7 DR. ROMAY: Yeah, the current criteria,
8 it's 30 --

9 DR. SAMPSON: Up to --

10 DR. ROMAY: Date of service, per
11 prescription, up to 30 days. But there are
12 people who are on it longer.

13 DR. SAMPSON: And they have to do another
14 prior authorization to reevaluate.

15 DR. GOODNOW: And I think there's also
16 patients that sort of hang out with a lab --
17 like, they're just chronically at certain
18 levels and it's not affecting them. I think
19 that the specification is a good request
20 because you don't want to hit one lab value and
21 then do the prior auth based on one level.

22 THE CHAIRPERSON: So I think I'm going to
23 try and summarize here. I think there's still
24 two items we have to address.

25 I think the first was Dr. Moore is asking,

1 essentially, for a vote on the Vaprisol, if we
2 want to keep it under the pharmacy benefit or
3 move it under the medical benefit due to the
4 use in the hospital.

5 So I'll ask the committee to take action
6 on that item, if someone wants to make a
7 motion.

8 DR. OLSON: Motion to move it to the
9 medical side.

10 DR. ZITIELLO: Second.

11 THE CHAIRPERSON: So the motion has been
12 properly moved and second to move Vaprisol from
13 the pharmacy benefit over to the medical
14 benefit. All those in favor of that motion
15 please say aye.

16 THE COMMITTEE: Aye.

17 THE CHAIRPERSON: The motion has properly
18 passed.

19 I think the next item here was
20 essentially, at least from my interpretation,
21 is to take the SAMSCA criteria back for review.
22 There, obviously, were a number of suggestions,
23 to take a look at the provider type, the time
24 frame on the sodium. So maybe this is just me
25 being ignorant to the fact, but what I

1 understood the next step in the process would
2 be is for the committee to take those
3 recommendations back and present it at the next
4 committee meeting.

5 DR. MOORE: So we'll certainly look up the
6 provider types for the PAs that we've received
7 from the fee-for-service side because that's
8 all that we have exposure to. And then, the
9 date for the lab value is certainly a valid
10 concern. And if you-all want to clearly state
11 what you would like the criteria to be and take
12 a vote upon it, we'll take it back to the
13 agency for final approval and then institute
14 it.

15 DR. ROMAY: I think that's what we want.
16 We want to be able to have input into what the
17 criteria would look like, and then we can bring
18 it back to the agency for approval.

19 THE CHAIRPERSON: So essentially what
20 we're saying is, we're going to take the SAMSCA
21 criteria back for review, and we'll bring our
22 suggestions back next quarter?

23 DR. ROMAY: Correct.

24 THE CHAIRPERSON: Okay.

25 DR. MOORE: Okay.

1 THE CHAIRPERSON: So we don't need to vote
2 on that?

3 DR. MOORE: At this time, no. So thank
4 you for that on Vaprisol.

5 MS. ELLIOTT: I want to clarify something.
6 We will address the criteria on our side, bring
7 it back next time and you vote on it?

8 DR. ROMAY: Well, we are, but we probably
9 want to --

10 MS. ELLIOTT: Table the whole thing?

11 DR. ROMAY: Yeah. We'll bring our
12 recommendations the next time, then we can vote
13 on it.

14 DR. GOODNOW: Is it more efficient if
15 we -- can we provide, like, recommendations in
16 advance of the meeting or, like, we can maybe
17 work on a draft during the time period until
18 the next meeting and then vote it final at the
19 meeting?

20 DR. MOORE: Yes. It can be filtered
21 through Vern.

22 MS. ELLIOTT: What we'll do is we will
23 email you the criteria that we have right now
24 so you can see exactly what we have. It's
25 easier to do it that way.

1 THE CHAIRPERSON: Just one point of
2 clarity for Dr. Phil's request. He actually
3 was requesting the provider type first.

4 DR. MOORE: Yes. We'll certainly bring
5 that back for the next meeting. Absolutely.

6 DR. FIELD: Well, before we give you
7 recommendations because that, perhaps, would
8 filter into a recommendation.

9 DR. MOORE: Okay. So we'll submit it and
10 Vern will pass it along to you. Is that good?

11 THE CHAIRPERSON: Okay. So I think that
12 closes the discussion on those agents. I think
13 we can move to quarterly activities.

14 DR. MOORE: Yes. I have something to say
15 before we move right into the quarterly
16 activity.

17 In the past, we had looked for some
18 congruency between the DUR and the P&T
19 committees. Probably like a few years ago, we
20 started looking at how we can best utilize the
21 two committees together. I think that we've
22 come leaps and bounds from where we were.
23 you-all are talking to each other very well
24 now. But in the past, there was zero
25 communication between the two committees.

1 Where we started was the P&T classes. So
2 in the past, many drugs were open. It was
3 almost like open access for most of the
4 classes. And so, we said, Well, maybe we can
5 start streamlining these classes with the
6 recommendation from the DUR committee to the
7 P&T committee to kind of tighten some of these
8 classes up.

9 Each class is reviewed every year. So
10 year after year, we're looking at the same
11 classes over and over. And we've gotten to a
12 point where our sister team that runs the P&T
13 committee, they've done a really good job along
14 with the agency in tightening down the
15 availability of so many products being
16 available on a PDL that we've come to a point
17 where it's probably time to just move on from
18 that approach. That was a starting point to
19 begin conversations between the two committees.

20 While we're happy to continue to look at
21 specific classes that you-all would like to
22 look at, we're happy to do that. But, in the
23 past, we would bring the top five classes that
24 we noticed that maybe there was some area where
25 we could tighten up the criteria or maybe the

1 preferred drug list.

2 But like I said, the drug list is pretty
3 well maintained at this point. And we'd be
4 happy to entertain specific classes if you
5 would like to look at them.

6 So what we're going to move into is
7 something that we did used to do in the past,
8 reporting the top 10 therapeutic classes from
9 the MCO space and fee-for-service space --
10 because those are the classes where we spend
11 most of our money -- and take a look to see if
12 there are any edits or suggestions that you-all
13 would like to do within those specific classes.

14 So that's what Selika has next on the
15 docket for you to look at and I just wanted to
16 explain why those P&T classes were listed in
17 your report, but they're not in this
18 presentation.

19 So any questions on that?

20 All right. Thank you.

21 DR. SAMPSON: Fee-for-service top
22 therapeutic classes by total paid. The
23 reporting period was for January 1, 2017
24 through March 1, 2017. So here you'll find the
25 top 10.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 You have your agents to treat hemophilia.
2 They came in about 16 million.
3 Antiretrovirals, 6 million. Anticonvulsants,
4 5.9 million. Antineoplastic, 3.9 million.
5 Human Growth Hormone, 3.3 million. Insulin
6 agents, 3 million. Antipsychotic agents,
7 3 million. Rheumatoid agents, 2.5. Cystic
8 Fibrosis, 2.4 million. And Pulmozyme agents,
9 1.9 million.

10 So this is where they fall at this time.

11 THE CHAIRPERSON: Does the
12 antiretrovirals, does that include the Hep C
13 and HIV -- AIDS together?

14 DR. SAMPSON: That number does not.

15 THE CHAIRPERSON: So would it just be
16 Hep C for that -- that's represented in that
17 antiretroviral class?

18 DR. SAMPSON: One moment.

19 Yes. The hepatitis -- I'm sorry, I
20 apologize. It does include the hepatitis
21 agents, the Hep C agents.

22 DR. GOODNOW: It might be nice to have the
23 number of patients and the number of scripts
24 filled so that can give us an idea of how long
25 a patient is staying in that category because I

1 think that might answer some questions.

2 DR. SAMPSON: Okay. Thank you. And I can
3 pull that data for you.

4 DR. GOODNOW: For this side?

5 DR. SAMPSON: Right. Because the other
6 side is just the same, whereby when we pulled
7 the data, we pulled the therapeutic class in
8 addition to the total amount paid.

9 So, yes, I will pull that up for you in a
10 minute.

11 THE CHAIRPERSON: Just one more question,
12 I guess, just for clarity.

13 DR. SAMPSON: Sure.

14 THE CHAIRPERSON: So on this slide it has
15 antiretrovirals, which I guess I have to assume
16 that would be HIV. But then, on the fourth
17 column, it has HCV antiretrovirals, which --

18 DR. SAMPSON: That one is broken up for
19 the MCOs.

20 THE CHAIRPERSON: Gotcha.

21 DR. SAMPSON: Two separate ones.

22 THE CHAIRPERSON: Okay.

23 DR. SAMPSON: That one is spelled out.

24 And we were discussing that sidebar. Yes,
25 it's two separate numbers.

1 DR. GOODNOW: And the Anticonvulsants
2 Miscellaneous, is that just called
3 "anticonvulsants" or is it a specific -- are
4 other anticonvulsants not included?

5 DR. SAMPSON: That number includes all.
6 Hold on one second -- and this, it is grouped
7 with all of them. And what was your specific
8 question that you want to know so I can pull it
9 for you?

10 DR. GOODNOW: I was just making sure that
11 the category was all anticonvulsants and not
12 just miscellaneous anticonvulsants.

13 DR. SAMPSON: No, all.

14 DR. GOODNOW: All? Okay.

15 DR. SAMPSON: And wrapping up the
16 interventions that are coming out this quarter
17 by the end of first quarter going into second
18 quarter and the third quarter, previous topics
19 that have been discussed with the DUR board:
20 Overlapping use of benzodiazepines and opiates.
21 Soft messaging to the pharmacies at the point
22 of sale. That was previously voted on by the
23 DUR board. This intervention would require the
24 pharmacist to enter a code as of August 31,
25 2016. Of course, you know, the FDA issued a

1 black box warning on opiate products and
2 benzodiazepine products discouraging use
3 together.

4 The September 2016 DUR board review, the
5 first quarter '16 data: At that time, 23,000
6 fee-for-service and MCO recipients had at least
7 one overlapping claim for both products. And
8 the end resolve was the soft messaging. So
9 that particular intervention will deploy third
10 quarter 2017; it's projected to go into
11 production.

12 The second intervention is the Zolpidem
13 intervention. It was discussed at the June
14 2016 and September 2016 DUR activity. Based on
15 FDA Safety Communication published in 2013, the
16 labeled dosing for Zolpidem products now states
17 that the recommended initial dose of
18 immediate-release Zolpidem product is
19 5 milligrams for women, while the recommended
20 initial dose for extended release is 6.25
21 milligrams for women.

22 At the September meeting, the DUR board
23 voted to implement a step therapy edit. In
24 this particular step therapy edit, it will
25 actually go across the board. There was much

1 discussion about that -- for male and female
2 recipients, whereby, before they can get higher
3 dosing, they must step through the lower
4 therapy or at least a 24-day supply must be
5 utilized before the recipient can have the
6 higher agent. And that edit is set to deploy
7 third quarter '17.

8 The next edit intervention that's coming
9 up is the maximum daily dose of antidepressants
10 for recipients greater than or equal to six
11 years of age. The DUR board approved
12 recommended maximum daily doses of
13 antidepressants in recipients age 6 or older.
14 That edit is set to deploy by second quarter
15 '17.

16 The tumor necrosis factor edit was
17 discussed at the September DUR meeting. This
18 particular edit, it will prevent the use of
19 more than one TNF inhibitor and/or the use of
20 any other biologic agent that is not classified
21 as a TNF inhibitor. It is set to deploy third
22 quarter '17.

23 Last but certainly not least, the IR
24 Before ER opiate step therapy edit. There's
25 been much discussion on this particular topic

1 since the September DUR meeting. The IR Before
2 ER intervention will deploy late March. That's
3 the end of first quarter '17.

4 The IR Before ER edit has been merged into
5 one intervention that also encompasses
6 abuse-deterrent criteria, the
7 narcotic automated prior authorization. The
8 edit addresses the CDC's recommendation for
9 recipients to receive an immediate-release
10 product before an extended-release product.
11 The abuse -- and this is all inside of your
12 written packet. If you refer to pages 18
13 through 19, and it will take you through the
14 steps for that automation.

15 While you're looking through that or
16 thinking about that IR Before ER edit, in
17 addition to that, we included the FDA-approved
18 abuse-deterrent products and some of their
19 release dates. Some of them are out there --
20 expected to be out there, but currently there
21 aren't any NDCs for them, so the products are
22 not available, but they're expected to be
23 available in the near future.

24 We also included the abuse-deterrent
25 formulations that are non-FDA approved just for

1 a point of information. They have claims for
2 having abuse deterrents, but they do not meet
3 the FDA standard for having all of the desired
4 properties needed.

5 DR. MOORE: I wanted to know if you-all
6 had a chance to look at the abuse-deterrent
7 edit? The narcotic edit is what we're
8 affectionately calling it. But if you have any
9 questions about it, I'm happy to answer any
10 questions that you may have. We can step
11 through it if you would like to, specifically,
12 because I know the plans will need to
13 understand the edit. So it's completely up to
14 you how you want to proceed.

15 DR. ROMAY: Did we ever revisit, instead
16 of doing an automated PA setup, doing more of a
17 criteria?

18 DR. MOORE: For the abuse deterrent?

19 DR. ROMAY: Yeah, instead of doing an
20 automated. I know a lot of the MCO plans don't
21 have those capabilities of adding multiple
22 steps to have a PA logic work. So did we ever
23 look and see if, maybe, we can just convert it
24 into a criteria to make it easier to navigate
25 through it?

1 DR. MOORE: Right. I think the agency is
2 okay with a manual-based PA, if you do not have
3 the capability to automate.

4 DR. ROMAY: Yeah, that's one of our
5 challenges that we run into when we're
6 programming these things with our PBMs. It's a
7 lot of factors and there's system limitations.

8 So I don't know if the group feels the
9 same, but I think it would work better if
10 there's a document.

11 DR. MOORE: The criteria or the steps to
12 the automation will be provided in your weekly
13 file. And so if your PBM is unable to automate
14 it, they can just follow the steps of the
15 automation as a paper-based or manual-based PA.

16 DR. ROMAY: Okay.

17 DR. MOORE: If they want to use our
18 criteria.

19 DR. ROMAY: So are you saying --

20 DR. MOORE: They don't have to use this --

21 DR. ROMAY: Well, are you saying that the
22 agency is willing to just move towards, like, a
23 just regular criteria base versus this? It's
24 just a suggestion.

25 MS. ELLIOTT: Well, the initiative was to

1 do an auto PA to facilitate -- I mean, it
2 prevents doctors having to send manual PAs
3 every time. So we're fine if your plan can do
4 the auto PA. You just use the same exact --

5 DR. ROMAY: Okay. So we can create our
6 own criteria based on this and --

7 MS. ELLIOTT: Right.

8 DR. ROMAY: Okay.

9 MS. ELLIOTT: As long as it follows
10 ours --

11 DR. ROMAY: Fine. Yeah.

12 MS. ELLIOTT: -- and it's not more --

13 DR. ROMAY: Absolutely. Yeah.
14 Definitely.

15 THE CHAIRPERSON: Could I make a comment?

16 I remember this topic from the last
17 meeting and, I guess, I have two concerns.
18 Let's just say, for example, Dr. Field writes a
19 prescription for morphine, right? He comes in.
20 And even if he wants the morphine, it's going
21 to reject him. He has to go to mData, right?
22 That's pretty much -- right? And I get the
23 rebates and everything.

24 But let's say, for example, he can't use
25 the mData because, I don't know, he gets highs

1 or whatever. So now, I guess, based on the
2 wording of the criteria, and since there's no
3 other abuse-deterrent products, really, I
4 guess, from my perspective, his only recourse
5 is to go back to a non-abuse-deterrent agent,
6 right?

7 I mean, if he -- I guess, based on the way
8 the criteria is right now, if Dr. Field writes
9 for another agent, he's going to be redirected
10 to a beta, which he failed. So I don't know if
11 there is another avenue to get to another
12 abuse-deterrent agent.

13 I don't want my personal feelings about
14 abuse-deterrent products to dictate what the
15 group does. I personally don't agree with
16 them. But, you know, since it's on the PDL, I
17 get it; we have to do it. But I just think the
18 way that the criteria is set up right now, it
19 makes it very difficult for a provider who
20 actually wants their patient to be on an
21 abuse-deterrent agent. They essentially just
22 have one choice.

23 DR. MOORE: And we did talk about this at
24 the last meeting. I think it was No. 5 on our
25 quarterly activities to do and it did not make

1 the cut for this meeting.

2 But Selika and I actually talked about
3 this extensively this week. I think your
4 concern was, well, what else are these patients
5 taking? Because you have a valid concern that
6 if you cannot take Embeda, then what? We were
7 going to pull the claims for patients who may
8 have had Embeda in their past and may not be on
9 it today, just to see what they are taking.
10 And that was No. 1 for our quarterly topics for
11 next quarter. So you did make the cut for this
12 coming quarter.

13 THE CHAIRPERSON: Moving on up.

14 DR. MOORE: Yes. And, absolutely, we'll
15 address the criteria concerns at that time,
16 what's next. And I think that's probably where
17 we were headed with that conversation at the
18 last meeting, so yes.

19 THE CHAIRPERSON: Thank you, Dr. Moore.

20 DR. SAMPSON: And this graph chart should
21 look very familiar as we continue our
22 discussion about morphine milligram
23 equivalents, meaning continued since September
24 and January and now. This chart was shared
25 previously, whereby it is second quarter '16

1 data for Florida Medicaid recipients and --
2 approved fee-for-service and MCO recipients.

3 The data at that point revealed 10,383
4 fee-for-service and MCO recipients combined
5 that were receiving a cumulative 90 morphine
6 milligram equivalents per day or greater. This
7 data did exclude recipients that had a
8 diagnosis of cancer or sickle cell or were in
9 hospice care. At that time, the DUR board
10 voted to establish a maximum daily dose based
11 on the CDC guidelines but, at the same time,
12 they wanted to get a deeper dive into that
13 10,383 number and that's what we did.

14 So this is how the information comes back
15 for those recipients in both categories. When
16 you look at the cumulative number, the
17 biostatistician was able to pull the data and
18 give to us where the recipients were.

19 So starting from the top, you see you have
20 a few recipients in that number that were
21 receiving a greater than or equal to 500 MMEs
22 within the review period and so on and so
23 forth. And then the majority of the population
24 was falling somewhere at that 150 number. So
25 greater than or equal to 150 but less than 200

1 MMEs per day. You were looking at about 1,600
2 recipients on both sides.

3 The most common diagnoses associated with
4 this number -- again, we cannot match claims to
5 diagnoses, but we can go back and look at a
6 two-year review period and use our knowledge
7 there to see what the ailment may have
8 been. The top five diagnoses during that
9 period all were related to pain in some way,
10 some fashion. Joint, limb pain, abdominal
11 pain, chest pain, back pain, other long-term
12 pain was associated with all of those claims
13 that you see there over a two-year period.

14 So, where does that leave us? What we
15 know for sure, we know that higher dosages
16 yield higher risk. So patients who receive
17 higher dosages of opiates have a higher risk of
18 overdose and death. We also know that dosages
19 above 50 MME per day increase the risk for
20 overdose by at least two times. This is data
21 that we know for sure.

22 So where do we go from here? The
23 September 2016 DUR board voted to establish
24 that maximum daily dose guideline based on the
25 CDC's recommendation. An intervention edit

1 released over time to reach the 90 MMEs per day
2 is what we see currently trending across the
3 country. So no one is being cut off or
4 anything of that nature. But it's making the
5 providers aware of what the daily amount will
6 be and then slowly releasing those edits over
7 time.

8 MR. OLSON: Do you have number of claims
9 for MME less than 90?

10 DR. SAMPSON: Yes, because those were the
11 numbers that we previously discussed. I'm
12 happy to pull that up for you. If we go back
13 to -- this slide? This is answering your
14 question?

15 MR. OLSON: Yeah, okay.

16 DR. SAMPSON: Okay. So what we have here,
17 right, that number for the 50 MMEs -- greater
18 than 50, that was a total on both sides,
19 72,000. And then if you look at the greater
20 than or equal to 50, but less than 90, 17,000.

21 DR. GOODNOW: And these are not unique
22 recipients? So they are not doubled up if they
23 were fee-for-service and --

24 DR. SAMPSON: Okay, the statistician did
25 address that. No, she can't confirm that

1 because sometime the patients do move.

2 Good question.

3 DR. FIELD: Do we have an idea whether
4 those were coming out of certified pain clinics
5 or whether they were done by, again -- since
6 that dosage is quite high and normally you
7 would expect somebody who specializes in pain
8 to be writing that kind of stuff?

9 DR. SAMPSON: Right. We would have to go
10 back in and look specifically at those numbers.
11 But for the majority of the part, the
12 physicians were all categories, all types. But
13 if you want to look at the ones that are
14 greater than or equal to 90 in terms of the
15 providers?

16 DR. FIELD: Yeah, the 10,000 that may
17 be --

18 DR. GOODNOW: And then given the top 10
19 providers of that 10,000, just to see if
20 there's a trend for higher utilization, if
21 there's a higher frequency provider in that
22 higher -- the only thing is they're oncology
23 now --

24 DR. FIELD: Oncology was included,
25 correct? Cancer was excluded?

1 DR. MOORE: Yes.

2 DR. FIELD: So essentially we're talking
3 about chronic nonmalignant pain?

4 DR. MOORE: Yes.

5 DR. FIELD: So we already know what
6 physicians in the state have to be matched up
7 and declare that you're going to prescribe like
8 that. I don't know how many of us have that
9 next to our license. But in that dosage, you
10 would expect somebody to have a specialty. If
11 it wasn't coming out of somebody with a
12 specialty, that would be kind of surprising.

13 DR. SAMPSON: Yes, it would.

14 It was a cumulative edit, so we did it
15 over time whereby each claim, we would go in
16 and then add what would be their day because
17 they were able to fill on the 1st, and then
18 they were able to fill again on the 28th, and
19 then they were able to fill again, maybe on the
20 20th. So we kept track of that and that's how
21 the cumulative count went.

22 DR. MOORE: From a data perspective, we do
23 not get a provider's specialty on the claim, so
24 it's essentially impossible for us to determine
25 the specialty of the physician.

1 Now, for the SAMSCA -- because that's
2 similar, what you want -- you want us to do is
3 to look up to see if it's a nephrologist.
4 Because it's a paper-based PA, chances are I
5 will be able to tell if this a primary or some
6 type of specialty clinic, so that's why I know
7 we can probably do that. But from products
8 that do not require a PA or PDO and claims just
9 pay, we won't be able to determine provider
10 type because we don't get a provider's
11 specialty.

12 DR. FIELD: I think we had this
13 conversation before, but it goes back to an
14 NPI, and the NPI has a toxomity related to it.
15 There are ways, but it doesn't mean that we
16 have the automated system to do it.

17 DR. MOORE: Well, we don't -- we don't
18 gather that information from our vendor. I'm
19 sorry.

20 THE CHAIRPERSON: So I just want to do a
21 quick temperature check. The time is 3:05. It
22 looks like we have two topics left, HIV and
23 Transderm Scop. So I want to ask if anyone
24 needed a quick break here, bladder relief, or
25 do you want to push through?

1 THE COMMITTEE: Push through.

2 THE CHAIRPERSON: I agree.

3 DR. SAMPSON: HIV polypharmacy.

4 DR. MOORE: I want to restate the
5 actionable items so that we're clear on what
6 the request is because the homework from the
7 last meeting was to bring back a stratification
8 of the doses that were above 90 so that
9 Magellan has a corporate solution that does
10 evaluate any claims that had an MME of 90 and
11 above. And we talked about perhaps setting
12 that threshold a little higher, and so that's
13 why we brought back the stratification. But I
14 believe there's additional items that have come
15 forth now.

16 DR. SAMPSON: The physician was one that
17 we were unable to do.

18 DR. MOORE: And is that the only thing?

19 DR. ROMAY: So as I understand the
20 threshold on the MME, is the approach going to
21 be that we're going to do, like, a banner
22 message sort of thing to start it out to
23 educate the providers and then we're going
24 implement the hard edit?

25 DR. MOORE: Sure. We can certainly take

1 that approach -- basic approach and first the
2 educational campaign about what we're going to
3 do and then move into -- honestly, because the
4 coding takes a little while to get into place.
5 So yes, I believe that's it.

6 DR. ROMAY: So I guess we'll bring this
7 back -- we'll table this back for the next
8 go-round.

9 DR. MOORE: Yes.

10 DR. SAMPSON: The P&T committee requested
11 for the DUR board to look into HIV polypharmacy
12 from a stance of, they wanted to evaluate
13 recipients who are receiving multiple
14 single-agent antiretroviral therapy medication
15 versus some of the newer combination products
16 where applicable.

17 So you have two additional -- here, we
18 just created a cheat sheet that's already
19 available -- readily available on the
20 aidsinfo.org website. And so, here, at your
21 desk, you have the FDA-approved HIV
22 medications. And you have the class.
23 Everything from the NRTs, NNRTs, PIs, so on and
24 so forth.

25 And then, on the back of the document

1 there, you also have the combination products
2 that are available, their generic name, the
3 brand name and what the combination is
4 comprised of.

5 In this particular look, as we already
6 know, the gold standard for most patients, they
7 may have two or more HIV medicines from one or
8 more drug classes.

9 So what was done? The pharmacy claims
10 were reviewed from October 1st to December
11 31st, 2016. Who was included? Recipients who
12 received five or more HIV agents as single
13 agents and/or via a combination therapy.

14 We'll go deeper into how that breaks out,
15 how the data was pulled. What we have? We had
16 recipients on the fee-for-service side, as well
17 as the MCO side. Some of recipients received
18 all single agents, so that means they didn't
19 have any combination therapy, whatsoever. And
20 you see the low numbers there for
21 fee-for-service and MCO during that time
22 period.

23 Then you have a population that may have
24 had a two-agent combination, either with a
25 two-agent combination medication and then so on

1 and so forth, whereby if the recipient had four
2 agents, they could have had four by two
3 combination, or a three combination plus one.
4 That's how the statistician was able to pull
5 the data back based on the drug class. And so
6 it will make more sense when you take a look at
7 the sample.

8 To your left you have all single-agent
9 regimens. That was for the time period
10 reviewed: October, November, December. And
11 then, the way the data came back for October,
12 November, December, you have a recipient there
13 that had the four or more agents, but that four
14 or more was comprised of therapy whereby it was
15 a two-combination drug and then two additional
16 or where you have one that is a four
17 combination drug and then one additional.

18 So overall, when we took even a deeper
19 dive into it, it could have been the course of
20 therapy -- the start of therapy for these
21 particular recipients, so we did not put up any
22 latent red flags that there was an issue or
23 problem.

24 Transderm Scop. This came up as a
25 quarterly activity that the DUR board wanted to

1 look into. The first quarter data for 2017 was
2 reviewed. The data review was October 1st
3 through December 31st. There was a diagnosis
4 check for the past two years for the
5 FDA-approved indication for the Transderm Scop
6 patches.

7 As you know, it is indicated for nausea
8 and vomiting associated with motion sickness
9 and postoperative nausea and vomiting. Each
10 patch delivers 1 milligram of scopolamine over
11 a three-day period. Only one patch should be
12 worn at any particular time. One package
13 equals four patches. Florida Medicaid will
14 reimburse for 10 patches every rolling 327
15 days.

16 Based on the claims, we took a look at the
17 diagnosis for a two-year period. And what we
18 discovered was that there were at least 80
19 percent of the fee-for-service recipients and
20 27 percent of the MCO recipients, they did not
21 have a valid diagnosis for Transderm Scop
22 during that period. Again, we cannot match
23 claims to diagnoses, but we can look back at a
24 two-year period and figure, did they have the
25 diagnosis during that said time.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 DR. ZITIELLO: Was there a look at age
2 there since it's not approved for under 18?

3 DR. SAMPSON: For under 18? Yes. We do
4 have the ages. It wasn't pulled within this
5 particular data, but we do have their age
6 available.

7 DR. ZITIELLO: I think the concern was
8 some use in nursing homes, some use like that.
9 It's not my area, but is that correct?

10 MS. ELLIOTT: We brought up the drooling
11 condition for nursing homes.

12 DR. MOORE: Right.

13 DR. SAMPSON: But when you take a look at
14 did they have the FDA-approved diagnoses, no,
15 the majority of the recipients did not. So the
16 decision would be for you to discuss
17 considering how you would like to proceed with
18 the medication as currently preferred
19 medication, but should a diagnosis check be
20 added to the processing of the claim at the
21 point of sale.

22 MR. OLSON: Do you have the information
23 for what the diagnoses were? Because that
24 would help determine --

25 DR. SAMPSON: Oh, well, again, we can't

1 match the diagnoses to the claim. We can take
2 a look at all that they had and it was a gamut
3 of everything that the recipients may have had.
4 But to try to narrow it down in terms of they
5 definitely had said diagnoses, off-label use --

6 DR. MOORE: So what we did was, the
7 utilization came back. And then we also had
8 the biostatistician pull any type of diagnosis
9 that they had on file at the time of the date
10 of service.

11 So there isn't a one-to-one comparison.
12 We have to use deductive reasoning. So if
13 there was a diagnosis of nausea and vomiting
14 at the time of date of service, we said, Okay,
15 check, you met the criteria because we don't
16 require a diagnosis at this time.

17 So maybe that's the next step, is attach
18 the FDA-approved diagnoses to this product so
19 patients that are getting the product on that
20 date of service actually have a diagnosis -- an
21 approved diagnosis on file.

22 DR. ROMAY: I think we cite a diagnosis as
23 other agents that the member would probably
24 benefit from using prior to Transderm Scop. I
25 mean, they're having nausea and vomiting, any

1 of the antiemetics that are currently on
2 market -- you know, decadrone, things like
3 that, or promethazine, things like that -- I
4 think we need to kind of look at those to see
5 if it's really, truly the only agent that's
6 going to be suitable to control those symptoms.

7 So maybe a PA with criteria outlining some
8 diagnoses that are preapproved, maybe an age
9 and maybe something along the lines of
10 preferred agents that should be used prior
11 to -- depending on the diagnosis.

12 DR. MOORE: So then the next step would
13 be -- this would be a recommendation to P&T.
14 Because it is a preferred product, so it has to
15 go through that process first. But it's good.
16 Like I said, we've come leaps and bounds.
17 We're making recommendations to the P&T
18 committee, saying, we reviewed this class.
19 It's a class coming up, I think, relatively
20 soon, and the recommendation is to move it to a
21 non-preferred status with this criteria. So
22 that's the process.

23 If that's the route we want to go, we can
24 certainly discuss that right now. I have to
25 check the cycle. I think it might be up for

1 review in June, so we would need to discuss it.

2 DR. GOODNOW: The other potential is if
3 they're also on another concomitant antiemetic.
4 Sometimes they use, like, a multimodal
5 approach. So that might meet criteria if
6 they're already on an antiemetic and they need
7 a stronger agent. I don't see it a lot, but
8 theoretically perhaps some of the claim is just
9 the multimodal approach to get them on multiple
10 products.

11 DR. ROMAY: I think the majority of the
12 use that is currently seen with that product is
13 for vertigo. People taking a cruise or taking
14 a long trip and doesn't want to be taking oral
15 tablets. It's just a convenience factor a lot
16 of times, so that's where I usually see it
17 most. I mean, there may be some scenarios
18 where either medically fragile kids who are
19 either on benz or something that their
20 secretions aren't controlled and they need to
21 suppress it with more aggressive therapy.

22 DR. MOORE: So do you-all want to decide
23 on the level of intervention you want to do?
24 Do you want to do it as a diagnosis, attach a
25 diagnosis -- because it is referred right

1 now -- so attach a diagnosis, or do you want to
2 take a more stringent approach and say, Hey, we
3 want make a recommendation to a non-preferred
4 status and then establish some type of
5 criteria?

6 DR. SAENZ: What is the utilization?
7 There's a cost. How much is it really, like,
8 driving the cost?

9 DR. ROMAY: We had that last time.

10 DR. SAENZ: We had that last time?

11 DR. ROMAY: Yeah.

12 DR. SAENZ: I forgot how much it was then.
13 I guess it must have been a lot of --

14 DR. ROMAY: Yeah, there was a lot of funds
15 associated with that drug. I remember.

16 DR. MOORE: Right. It's in the report,
17 the report that you got.

18 DR. SAENZ: Okay. It looks like it was a
19 lot of utilization. Otherwise, we wouldn't
20 be --

21 DR. MOORE: Right. Yeah. It was a P&T
22 class that we brought forth at the last
23 meeting.

24 DR. SAENZ: I agree with his comments.

25 DR. ROMAY: So I motion to move that

1 forward to the P&T for non-PDL with criteria.

2 DR. HAYDEN: There was a lot of patients
3 on this, but was the fiscal impact great to the
4 Florida Medicaid program as well, or is it just
5 the number of patients on it?

6 DR. MOORE: I'm going to see if I can
7 resurrect that file that we talked about at the
8 last meeting so we can give you a point of
9 reference.

10 THE CHAIRPERSON: So at this point, we
11 have a motion on the floor. We are going to
12 table the motion until we get the information
13 from Dr. Moore, and then, perhaps, a second of
14 that motion, we'll deal with it and close out
15 that issue.

16 DR. ZITIELLO: Can we also look at dual
17 therapy with the antiemetics to Dr. Goodnow's
18 point? Because I would hate to hold up therapy
19 for somebody who is getting multimodal
20 approach.

21 THE CHAIRPERSON: So I think just from
22 Robert's Rules of Order, can I ask you to
23 rescind your motion since we have some
24 unreadiness here? Can you remove your motion
25 from the floor because we have some

1 unreadiness?

2 DR. ROMAY: Sure. I remove my motion.

3 THE CHAIRPERSON: So you are looking up
4 information for Dr. Goodnow.

5 DR. MOORE: Yeah, I just sent it to
6 Salika.

7 DR. ROMAY: Wouldn't there be a DUR reject
8 that's triggered if those two antiemetics are
9 going to be delivered at the same time?

10 DR. MOORE: It would trigger. However, it
11 will pay if it's the same physician and same
12 pharmacy. So if there's a different physician
13 or a different pharmacy, it will deny. But the
14 pharmacy can override it with those service
15 intervention codes, prescriber consulted MO,
16 whatever those codes are.

17 DR. ROMAY: Well, I think we captured that
18 intention if we do what we were going to do
19 initially.

20 DR. MOORE. It would only stop if the
21 doctor or pharmacy are different. Otherwise,
22 the claim would continue to process.

23 DR. ROMAY: I just don't see that scenario
24 coming up very often. It's very, very, very
25 infrequently where the member requires two

1 antiemetics to control their condition. I
2 mean, I just don't see that. At least in my
3 clinical practice, I haven't encountered that.

4 DR. MOORE: Okay. So "N" means
5 fee-for-service. "Y" means encounter, so
6 plans. The data was from September 1st of '16
7 through November 30th of '16.

8 DR. SAMPSON: Fee-for-service and MCO.

9 DR. MOORE: Yeah. The other carrier
10 amount, that's how much the plan paid.

11 DR. ROMAY: September? November?

12 DR. MOORE: September. So all of
13 September, all of October and all of November.

14 Accounting for that, was the motion for
15 moving Transderm Scop to the non-preferred
16 status along with criteria creation, was that
17 passed?

18 THE CHAIRPERSON: No. We rescinded the
19 motion. I think we had some unreadiness. We
20 had some questions on the floor. We have the
21 data here. I think we need to make an informed
22 decision.

23 Do you want to restate your motion?

24 DR. ROMAY: I would restate it, yes. So
25 move forward to suggestion of moving it to

1 non-PDL with a criteria creation to supplement
2 the edit.

3 THE CHAIRPERSON: All right. We have a
4 motion on the floor.

5 DR. FIELD: Second.

6 THE CHAIRPERSON: Second by Dr. Field.
7 Ready for the question. All those in favor say
8 aye.

9 THE COMMITTEE: Aye.

10 THE CHAIRPERSON: Motion passes.

11 DR. MOORE: Thank you.

12 Would you like to discuss the criteria?
13 Some items that you'd like to see in the
14 criteria?

15 DR. ZITIELLO: Diagnosis.

16 DR. MOORE: First and foremost.

17 All right. So I heard diagnosis. I think
18 I heard age somewhere.

19 DR. ZITIELLO: Yes, age.

20 DR. ROMAY: Formulate alternatives.

21 DR. MOORE: Okay.

22 DR. ROMAY: I think we can use the same
23 concept as we did with the previous agent that
24 we were reviewing that we were going to --

25 THE CHAIRPERSON: SAMSCA.

1 DR. MOORE: SAMSCA?

2 DR. ROMAY: The SAMSCA.

3 DR. MOORE: Okay.

4 DR. ROMAY: So we can kind of look -- I
5 guess we can all get together and submit our
6 recommendations.

7 DR. MOORE: Sure. Okay.

8 We'll review those at the next meeting.
9 And I can certainly make the recommendation to
10 our sister team that runs the P&T committee to
11 move Transderm Scop to the non-preferred
12 status. I can go ahead and make that
13 recommendation.

14 Thank you.

15 THE CHAIRPERSON: Okay. I think that
16 concludes our Quarterly DUR Activity Reports.
17 If I'm not mistaken, we're going have an
18 audible here to the agenda. We have open
19 discussion next. But it's my understanding
20 that we have some individuals in our audience
21 that would like to -- some public comments.

22 I think we're going to just go ahead move
23 right through it.

24 MS. ELLIOTT: Oh, I thought it was the
25 report that we were going to -- okay.

1 MS. HARRIS: Just roll on.

2 THE CHAIRPERSON: The big boss has spoken.
3 We open the floor for public comment. Does
4 anyone want to step forward here for any items
5 of discussion?

6 I think in the Moses imaginary rule book
7 here, after 30 seconds, we close the floor.

8 MS. FUHR: Hello, everyone. We were given
9 the opportunity to come here and speak. My
10 name is Debbie Fuhr. I'm with Biogen. I'm the
11 account manager that covers Florida.

12 I'd like to just give a very high overview
13 on the new product that we just launched for
14 spinal muscular atrophy. It's called SPINRAZA
15 or nusinersen. I'd like to get into just a
16 little bit of the dosing, the lab tests that
17 are required, the distribution model, and then
18 I'd like to bring up Biogen's rare disease
19 reimbursement manager to come up and discuss a
20 little bit about coding, site of care issues
21 and that type of thing. We were told that we
22 could have five minute, so we're going to fly
23 through.

24 SPINRAZA (nusinersen) is a survival motor
25 neuron 2, which is an SMN2. It's directed

1 antisense oligonucleotide and the first and
2 only FDA-approved therapy indicated for the
3 treatment of SMA in pediatric and adult
4 patients.

5 The efficacy and safety of SPINRAZA was
6 demonstrated in a double-blind double-sham,
7 which went as a placebo. When it's an
8 intrathecal injection, they would, for the
9 sham-controlled, actually puncture the skin, so
10 it's the placebo equivalent for an intrathecal
11 injection, in controlled clinical trials for
12 patients with infantile onset of SMA. And it
13 was also supported by open-label clinical
14 trials in presymptomatic and symptomatic
15 patients.

16 Of the 82 patients that were eligible for
17 this interim analysis, there was statistical
18 significant differences in the percentages of
19 patients that were able to achieve motor
20 milestones and response where patients would
21 normally not. So that includes kick, head
22 control, rolling, sitting up, standing,
23 walking; 40 percent for the SPINRAZA-treated
24 patient versus zero in the sham-controlled.

25 And then, in addition, a greater percent

1 of the patients that were treated with SPINRAZA
2 actually survived where untreated patients
3 would not be expected to.

4 In the open-label uncontrolled trials, let
5 me tell you that the FDA stopped our trials.
6 They deemed that it would unethical to keep the
7 patients that were on placebo because of the
8 results that they showed. So then we have
9 ongoing clinical trials go on.

10 Patients who were likely to develop SMA
11 Type 1, 2 or 3, achieved milestones, such as
12 the ability to walk or stand unassisted when
13 they would otherwise not be expected to do so.
14 Again, maintain motor milestones at the ages
15 when they would not be expected to do so and
16 survive to ages where they would not be
17 expected to do so.

18 I'll quickly go through the dosing again.
19 SPINRAZA is administered intrathecally by or
20 under the direction of a healthcare
21 professional experienced in performing lumbar
22 punctures.

23 It comes in a 12 milligram vial and it is
24 not weight based. So a newborn infant would
25 get the same dose as child that would be 15.

1 The dosing includes four loading doses: Day
2 zero, 14 days after that, so Day 14, Day 28.
3 The final loading dose would be around Day 58.
4 And then, thereafter, as a maintenance dose, it
5 is once every four months.

6 The testing that needs to be done at
7 baseline and prior to each dose would be a
8 platelet count, a prothrombin time and
9 quantitative spot urine protein test.

10 The distribution model, as with rare
11 diseases, it's very common to have a limited
12 distribution model. Accredo is the resource
13 therapy, along with CuraScript, so that's the
14 SP. And the reason they do that is to keep the
15 handling, the storage, the distribution, and
16 the transportation all very contained so it can
17 be tracked.

18 At this time, I'd like to bring up Brenda
19 for the other two minutes and let her tell you
20 a little bit about the reimbursement and
21 coding.

22 MS. WEAVER: Hi. My name is Brenda
23 Weaver. I'm a rare disease reimbursement
24 manager.

25 My qualifications include -- I'm a

1 clinical nurse. Practiced in ped ICU. I
2 worked for Blue Cross Blue Shield in medical
3 policy in Minnesota for eight years. And then
4 I'm also a certified holder for physicians as
5 well as for outpatient settings.

6 So some of the things that we are hearing
7 from sites, at least on SPINRAZA, are they're
8 very, very concerned. In the rare disease
9 space, the products are very expensive. This
10 is no exception to that rule. And these
11 patients, they tend to congregate in MDA
12 centers. So there's only so many MDA centers
13 around the country. And so these institutions
14 have actually quite large populations.

15 So when you have a drug in this expensive
16 of a bracket, they simply can't afford to buy
17 and bill the product. They just don't have the
18 budget in their pharmacy whether it's -- well,
19 these are mostly hospitals. A physician clinic
20 certainly can't afford to buy and bill the
21 product. So this is becoming kind of an issue
22 with payors, especially in the Medicaid space,
23 because Medicaid typically uses buy and bill as
24 a methodology.

25 So I want to just see if we can open a

1 dialogue about whether or not this product
2 could be allowed under the specialty pharmacy
3 benefit as well or the pharmacy benefit versus
4 medical. Accredo can dispense under the
5 medical, but what we're finding out is a
6 barrier is they need to have a letter of
7 agreement in place with the payor. And
8 typically inside the payor, those letters of
9 agreement are single case agreements or
10 contracting. That's going to go to a separate
11 area in the payor, at least it did for us, a
12 silo department.

13 They are non-clinical in nature and so
14 they really don't understand the urgency behind
15 getting these contracts done quickly. And they
16 typically don't communicate with the medical
17 side either. So that's kind of an issue that
18 we're having.

19 I also can help you, if you need -- we can
20 talk about this offline at some point. But we
21 wrote our own edits. I wrote edits for our
22 claim system at Blue Cross. And I've had some
23 Medicaid plans that have said to me, we're a
24 little bit concerned about using the SPB
25 benefit or the pharmacy benefit because we

1 don't want to get the pharmacy claim
2 adjudicated and then also have a medical claim
3 externally billed to us, which that could
4 happen in a mistake.

5 And so, we problem-solved and we talked
6 about some ways to do reverse claim steps in
7 the medical system -- in the medical payment
8 system so that you can catch those claims. And
9 I can take that offline, if you have questions
10 like that.

11 Any questions that I can address?

12 MS. ELLIOTT: I just have a comment. This
13 is a public information. I don't know if the
14 members know how much the drug cost or are they
15 interested?

16 DR. ZITIELLO: I'm interested.

17 MS. WEAVER: The price of SPINRAZA at the
18 WAC price is \$125,000 per vial. In the first
19 year, treatment for a treatment-naive patient,
20 that is going to be six doses in that first 12
21 months, \$750,000 as a first-year treatment.
22 Thereafter, SPINRAZA is dosed at every four
23 months, three times a year, so that's \$375,000.

24 Biogen participates in one discount
25 program that would be the Medicaid rebate

1 program. So that's another thing to consider
2 if you think about moving this over to your
3 pharmacy benefit manager. If you produce this
4 as a pharmacy benefit, Medicaid is going to get
5 that rebate. It's very easy to adjudicate and
6 control rebates on the pharmacy side versus on
7 the medical side when have you the institution
8 buying and billing.

9 I'm not saying that the best thing would
10 necessarily be to block this drug to just a
11 pharmacy benefit because, of course, when we
12 did our research on this population, what we
13 found, when the population is identified, they
14 typically have a commercial insurer. Mom and
15 dad might both be working.

16 But then, when this diagnosis hits,
17 usually at least one parent ends up having to
18 stop working to take care of the needs of the
19 child. So what happens, in about six months
20 time, these patients go onto a Medicaid --
21 either Medicaid as a primary payor or Medicaid
22 as a secondary payor.

23 If you would block this only to a pharmacy
24 benefit, then what could happen then is, if you
25 have an instance where you have a commercial

1 payor as primary, Medicaid is the secondary, we
2 would still want a buy-and-bill channel or at
3 least a medical benefit channel. Because
4 Accredo could provide the drug under the
5 medical benefit as well. And then Medicaid
6 would just have to pick up as a secondary
7 payor, if that makes sense.

8 THE CHAIRPERSON: I have two questions.
9 Thanks for great information.

10 Could you restate what the incidence is of
11 this order? Forgive me if you stated that
12 before.

13 MS. WEAVER: I did not. The incidence is
14 approximately 3- to 400 live births per year in
15 the United States. That doesn't mean that
16 we -- I don't know exactly what the true
17 population is, living population today.
18 There's estimates between 8 and 10,000, I
19 think, as far as live patients with SMA either
20 Types 1, 2, 3 or 4.

21 DR. ZITIELLO: And there's very vast
22 differences between the types in spinal
23 muscular atrophy. The one that I was brought
24 up in pediatrics understanding was type 1,
25 where I think dispensing would have some

1 efficacy.

2 A little more concerned about the types 2
3 and 3 and this being implemented so soon after
4 it's approved. There's also -- and I know this
5 very well --

6 THE CHAIRPERSON: Would there be any
7 dosing variations?

8 DR. ZITIELLO: Well, the studies that I
9 have read, the dosing was very different for
10 the types 2 and 3. There wasn't a real control
11 on that. So that's why I'm concerned.

12 MS. FUHR: It's the same dosing.

13 And for the later onset for the SMA types
14 2 or 3, any functioning that they currently
15 have, you would want to preserve. So if the
16 older child has the ability to move the
17 electric wheelchair, obviously, you would want
18 to save that for mobility.

19 And I do have some literature I can leave.
20 We just didn't know what the setup was here and
21 what we could do. So I have some information
22 for you.

23 MS. WEAVER: Type 3 is very variable as
24 far as how it presents later in life. It can
25 be very mild weakness also. When we're talking

1 wheelchairs, those would be more severe cases.
2 The American College of Obstetrics & Gynecology
3 just this month determined that all women who
4 are pregnant and are wanting preconceptual care
5 get SMA carrier so there's probably going to be
6 recommendation of more of this disease coming
7 out. So that's another way I see utilization
8 will increase.

9 DR. ZITIELLO: Have you guys had an
10 opportunity to comb through your claims data,
11 by any chance, just to identify what you think
12 your patient population is for your plan?

13 MS. WEAVER: We're the process of doing
14 that.

15 DR. ZITIELLO: Okay. Sidebar. If you'd
16 like, I can help identify and narrow down those
17 diagnosis codes.

18 MS. WEAVER: We'll take that under
19 advisement.

20 DR. HAYDEN: I just have a question.
21 Logistically, if it goes to a pharmacy benefit
22 and it's intrathecal infusion, do the parents
23 pick it up at the pharmacy or what is the
24 process?

25 MS. WEAVER: Good question. That's an

1 excellent question.

2 This product requires cold chain for chain
3 of custody. So in the case of specialty
4 pharmacy procurement, Accredo Specialty
5 Pharmacy would ship from their pharmacy to the
6 hospital pharmacy. This drug can't go in the
7 hands of a family.

8 DR. HAYDEN: Or the infusion center.

9 MS. WEAVER: Right. It eventually gets to
10 the infusion center. But typically how it
11 works, it just goes into the inpatient
12 pharmacy. The inpatient pharmacy does all
13 their required storage and inventory and all
14 that.

15 And then, at the time of the injection,
16 then they hand it over, like, hand-walk it over
17 to the suite where the injection is being done.

18 And to the physician over here, to the
19 point of the variability, there's also a great
20 degree of variability just from an injection
21 standpoint. You have some patients that are
22 extremely stable. They still have good
23 respiratory support. They're okay to be put in
24 the position for a lumbar puncture.

25 Then you have, on the end of the scale,

1 somebody that might already have scoliosis,
2 growing rods, things like that, and they might
3 actually need interventional radiology.

4 I've had a very few patients injected in
5 the clinic setting because they were stable and
6 safe. But the large majority of these are
7 requiring actual hospital outpatient services
8 for the injection.

9 And that's sort of what's coming back from
10 some of the payors, with the hospital
11 outpatient dates of service they've told me --
12 at least their CFOs have told me that their
13 payment methodologies for buy and bill tend to
14 be on a bundled rate, which is problematic if
15 we don't have a carve-out or some way to carve
16 out the price for the product if they have to
17 buy and bill.

18 So there's two reasons, really, why
19 they're really not able to buy and bill.
20 Reimbursement, that's one of them. But then
21 also just the strain to the budget, the impact
22 to their overall pharmacy budget.

23 THE CHAIRPERSON: Very good. Thank you.

24 MS. HARRIS: I just wanted to make sure
25 the board members are aware that we are

1 bringing forward the clinical criteria that
2 would be utilized by the agency. And the
3 health plan, if they so choose, they cannot be
4 more restrictive than the criteria that the
5 agency adopted. And so, if you had any
6 additional questions for the speaker, as you
7 contemplate the criteria that you have before
8 you, I just wanted to make sure you are aware
9 of that. The drugs that we're speaking of are
10 not on our PDL and will be subject to prior
11 auth.

12 THE CHAIRPERSON: Any additional public
13 comments?

14 MS. HANSON: Hi. Jill Hanson. I just
15 wanted to add a couple of comments.

16 First, just the importance of --

17 MS. ELLIOTT: Is it for the same drug?

18 MS. HANSON: My first comment is.

19 MS. ELLIOTT: Oh, okay.

20 MS. HANSON: I just wanted to first
21 comment on SPINRAZA and just add one point for
22 our health plan. We are in close communication
23 for the LOAs SCA process for clinical and
24 non-clinical. So I just wanted to mention that
25 as far as covering it under medical. Some

1 plans are in very close communication with that
2 process.

3 There's definitely a need for consistent
4 criteria for not just this drug but other
5 high-cost drugs that's on list, one, and the
6 speed of getting those criteria out is
7 important to us. So thank you for looking at
8 this. We definitely appreciate the -- I guess,
9 expediting it potentially.

10 My last comment, I just wanted to go back
11 to the opiate dependence discussion and the
12 buprenorphine. Since plans cannot be more
13 restrictive than the criteria, I had hoped that
14 the committee would look at the reapproval
15 criteria for straight buprenorphine and revisit
16 that because of the concern for overuse and
17 misuse.

18 So that would be my suggestion, is to
19 revisit that reapproval criteria.

20 Thank you.

21 MS. ELLIOTT: Before the next speaker
22 comes up, I just want to make a comment.

23 I know you-all received the draft criteria
24 for the two products that we're talking about.
25 I just wanted to let you know that your chair,

1 Dr. Martarana, he had submitted some edits or
2 recommendations for SPINRAZA. And I just
3 wanted to let you know that I'll pass it
4 around. Because it was so late, I didn't have
5 time to send it.

6 Thank you.

7 MR. FERNANDEZ: I want to thank the
8 committee for giving me a few minutes to speak
9 about another drug. I didn't know that
10 SPINRAZA was on the agenda and I would ask to
11 say a few words about it.

12 My name is Ray Fernandez. I'm a pediatric
13 neurologist in Tampa. I've been in private
14 practice since forever -- since 1976, 40, 41
15 years. As mentioned, in private practice. I'm
16 not an expert.

17 MS. HARRIS: Excuse me. Can I interrupt
18 you really quickly? So for those in the
19 audience, before you videotape or record this
20 session, you must ask the permission of members
21 of the audience. We already have a court
22 reporter. And once that transcription is
23 finalized, it will become a public record and
24 you can request that from the agency. But if
25 you are going to videotape, you need to request

1 permission from everyone in the audience.

2 THE CHAIRPERSON: And can I add one
3 additional comment?

4 For most of the legacy DUR attendees, it's
5 pretty atypical to have this many public
6 comments as opposed to our sister committee.
7 The P&T committee generally grants a two-minute
8 time approval. So I do not want this to come
9 across as a surprise to anyone, but I am
10 keeping time here -- just for organizational
11 purposes up here.

12 So if I cut you off, the intent is not to
13 be rude but just to keep time.

14 MR. FERNANDEZ: How much time?

15 THE CHAIRPERSON: Four minutes and 40
16 seconds left.

17 MR. FERNANDEZ: I just want you to know,
18 I've been in private practice in Tampa since
19 1976. I had the good fortune of having the
20 Muscular Dystrophy Association contact me 35
21 years ago. They asked me to establish a clinic
22 in Tampa for children with muscle disease. I
23 said, sure. It was easy then because we did
24 not know a whole lot about it.

25 What has happened over the past 35 years

1 is I have gained a whole lot of experience. I
2 don't consider myself to be an expert, but
3 experience is a very good teacher.

4 I've seen diseases over time from spinal
5 muscular atrophy and Duchenne muscular
6 dystrophy. Genetic advances began sometime in
7 the '80s and they have skyrocketed since then.
8 By mid 1980s to late 1980s, we were able to
9 establish a diagnosis very specifically by DNA
10 or gene analysis.

11 And then to subcategorize diseases, again,
12 based on genetic analysis very specifically.

13 Spinal muscular atrophy, people have
14 mentioned types 1 through type 4. The
15 incidence of a disease, the frequency of a
16 disease, is very tricky. When Biogen, I
17 believe, began clinical trials for type 1 SMA,
18 we had two babies born with it within a month:
19 one in Tampa, one in St. Petersburg. Both were
20 referred into the drug trials. I wasn't privy
21 to what was happening so I do not know the
22 outcome of these two early treated babies.

23 But I can tell you the treatment of the
24 babies with type 1 with SPINRAZA, also called
25 nusinersen, has made a huge difference. I

1 mean, these babies died by age two years.

2 It was a diagnosis that you could spot
3 when you walked in the room. It was a baby
4 that was hardly moving, struggling to breathe,
5 at the age of a month or earlier. It
6 progressed rapidly. Death within about two
7 years. But I think treatment with SPINRAZA has
8 made a huge difference.

9 The type 2 form is milder, but it's not
10 really mild if you see it. The type 3 form was
11 commented on. I have two children with type 2
12 spinal muscular atrophy. One just stopped
13 walking at the age of 10 years. We're
14 struggling now with whether we should fuse her
15 spine now because she needs it. Her scoliosis
16 is progressing rapidly. Or whether we should
17 start treating her with nusinersen by spinal
18 tap. Intrathecal is given by spinal tap. I
19 have agreed to be the spinal tapper.

20 We have one child approved and we hope to
21 be starting soon at St. Joseph's Hospital, the
22 Day Hospital, the outpatient center.

23 The treatment of the type 1 babies has
24 made a big difference. They're achieving
25 milestones that they never would have achieved

1 untreated. There's no doubt it.

2 They're living beyond the age of two
3 years. They're crawling, pulling up, standing
4 with assistance, taking steps with assistance.
5 That never happened.

6 So I would compel and urge you to consider
7 this drug very closely. It's expensive, yes,
8 but it makes -- it seems to make a big
9 difference in the outcome, both in terms of
10 quality of life and life span.

11 Do I go on to the next or does anybody
12 have any questions?

13 THE CHAIRPERSON: You have one more
14 minute, 60 seconds.

15 MR. FERNANDEZ: All right.

16 Well, as a treating doctor, I write
17 prescriptions. And with these drugs, often
18 there's denial and I follow it with a letter of
19 appeal. Another denial. Another letter of
20 appeal. And slowly, but surely, we are getting
21 patients approved.

22 Again, the first one, the first approval
23 for SPINRAZA, I was informed of while I was in
24 Washington this past weekend at a
25 muscle disease meeting. And this is a topic of

1 our discussion in Washington. It came up, the
2 logistics and the difficulties involved with
3 how to administer the drug, et cetera, and the
4 cost of the drug. That's not part of my job
5 description, but I recognize it is expensive
6 and it creates a problem.

7 So, hopefully, we'll be able to move on
8 with this because there are a number of
9 patients -- these diseases -- I don't know what
10 the numbers mean to you, 1 in 5,000 or 1 in
11 10,000, but we see them and they're not that --

12 THE CHAIRPERSON: Sir.

13 MR. FERNANDEZ: -- they're not uncommon.

14 THE CHAIRPERSON: Thank you very much.

15 MR. FERNANDEZ: Should I continue?

16 THE CHAIRPERSON: Does anyone have any
17 questions.

18 DR. ZITIELLO: Any experience with SMA3 in
19 treatment?

20 MR. FERNANDEZ: None have been treated
21 that I know of. I'm not sure what's happening
22 with the drug trials.

23 I have two patients with type 3 SMA that
24 we're planning to treat. The indication for
25 treatment is all four forms.

1 I understand from my adult colleagues
2 that -- they call me when an adult calls them
3 and asks them if the adult should be treated.
4 I don't think we know. I'm not sure what the
5 experiences are. I think most of the
6 experience in clinical trials has been with the
7 type 1 form.

8 But I think it is our intent to treat all
9 patients with spinal muscular atrophy, no
10 matter the type. And there will be exceptions.
11 I think that we try to be reasonable about
12 this. There's some patients in whom there will
13 not be reasonable expectation of improvement.
14 I don't think that any of us would push for
15 treatment in that particular circumstance.
16 These would be very far advanced people, very
17 weak, virtually unable to do anything
18 independently.

19 THE CHAIRPERSON: Very good. Thank you.

20 MR. FERNANDEZ: I was asked to say a few
21 words about another drug. Do I get another
22 five minutes for a second drug?

23 THE CHAIRPERSON: Unfortunately, no.

24 PUBLIC SPEAKER: So my name is Pratik
25 Parikh. I'm the senior medical science liaison

1 with Sarepta Therapeutics. Duchenne muscular
2 dystrophy is a progressive neuromuscular
3 disease and Sarepta got accelerated at the
4 approval on September 19, 2016 for Exondys 51.

5 I will actually, if it's okay with the
6 committee, yield my time back to Dr. Fernandez,
7 my five minutes, so he can speak on Duchenne.
8 And if you have any questions, please feel free
9 to ask me afterwards or during your discussion.
10 If that's okay?

11 THE CHAIRPERSON: That is fine. We're at
12 four minutes before yield.

13 MR. FERNANDEZ: All right. It's the same
14 drug. I want to talk a little bit about
15 another disease to talk about is Duchenne
16 muscular dystrophy. Relatively common. I
17 think I see new patients with Duchenne muscular
18 dystrophy every year.

19 We just moved our clinic to Shriners
20 Hospital. We have a multidisciplinary clinic
21 where I am the director, and I have been for
22 about 35 years. We have cardiologists,
23 pulmonologists, physical therapists, everybody
24 that we need to take care of these kids.

25 Duchenne muscular dsytrophy was brought

1 to attention every year by Jerry Lewis. It's
2 probably, along with spinal muscular atrophy,
3 it's about the most severe muscle disease
4 you'll see.

5 The Duchenne form is the severe form.
6 It's an x-linked disease carried by mothers,
7 passed on to their boys.

8 There's a milder form called Becker that
9 differs genetically in terms of mutation and
10 the type of mutation. What we can do now with
11 eteplirsen, which is Exondys 51, is more or
12 less genetically, anyway, convert the severe
13 Duchenne form to the milder Becker form.

14 And if there are questions about it, I
15 will be glad to try to answer them. But,
16 basically, that's what we do in terms of
17 alteration of the mutation within the gene.

18 This drug is administered intravenously,
19 so that's, somewhat, less complicated. It's
20 administered weekly. The indications for
21 treatment are very specific. Treatment will
22 only be prescribed for boys that have a
23 specific mutation that is amenable to exon 51
24 skipping. And that is accomplished by
25 eteplirsen or Exondys 51.

1 That encompasses about 10 to 13 percent of
2 boys with Duchenne -- 10 to 13 percent of the
3 total of all boys with Duchenne muscular
4 dystrophy. Only that relatively small fraction
5 will be eligible for treatment.

6 The same problem arises as it does with
7 spinal muscular atrophy. We have degeneration
8 of older people that have never been treated.
9 We feel if they qualify for treatment, based on
10 their mutation type, that they should be
11 treated. And these are older -- these are
12 teenagers and young adults and, yes, some of
13 them have severe weakness. Most of them are
14 wheelchair-confined. They have not been able
15 to walk since the age of about 10 or 12 years.
16 We do not feel that should exclude them from
17 treatment. And, again, we will be reasonable.
18 We do have some treatment criteria or treatment
19 indications that I'll send to the committee in
20 written form.

21 And we also have come up with some
22 exclusion criteria, so that not everyone who
23 has a mutation that is amenable to exon 51
24 skipping will be recommended for treatment,
25 depending on the degree of function of their

1 upper extremities mainly and depending on their
2 ventilatory capacity. And those criteria have
3 been drawn up. I have them. I'll get them to
4 you in writing at the appropriate time.

5 We're starting to treat some boys with
6 Duchenne muscular dystrophy. Two young adults
7 have been approved for treatment.

8 We were planning to give the first few
9 doses in the outpatient setting of the
10 hospital. That became complicated. I made
11 some phone calls around the country. Talked to
12 real experts and they said, Why don't you just
13 start treatment at home? That's being done.

14 I met the first boy we treated in Tampa.
15 I made a home visit. The IV nurse was there.
16 And the boy received his first dose without any
17 untoward effect. In fact, it's really quite
18 safe.

19 We know that boys that are treated are
20 able to produce a protein that is called
21 dystrophin, which they otherwise cannot make
22 because of their Duchenne mutation. With
23 treatment, these boys are able to make
24 some dystrophin and it's incorporated within
25 their muscle fibers.

1 THE CHAIRPERSON: Thank you for
2 information. Unfortunately, your time is up.
3 I think I can pretty much speak on behalf
4 of the board. I do not want to speak on behalf
5 of the agency. But what I will say is this:
6 Thank you for bringing that. I think it was
7 very informative. I think the agency has shown
8 their attentiveness to this matter by already
9 beginning construction of PA criteria.
10 Certainly, the plans will lean on it heavily.
11 I'm sure we'll have more development on this
12 issue to come.

13 MR. FERNANDEZ: If there are questions, I
14 can be available at any time by telephone or
15 whatever else it takes to move this along.

16 THE CHAIRPERSON: Perfect. Thank you.

17 MS. DUSSAULT: Hi. Good afternoon. My
18 name Ginger Dussault. I'm a mother of a boy
19 with Duchenne muscular dystrophy. I'd also
20 like to speak to Exondys.

21 Duchenne is a rare progressive where boys
22 lose their muscle function due to a missing
23 protein call dystrophin. He is 21 years old.
24 My son Dalton is patient of Sunshine
25 Healthcare. He was diagnosed at the age of 17

1 months, meaning that he was born with a
2 mutation on the dystrophin gene that keeps his
3 body from producing the dystrophin and his
4 muscles functioning properly. Dalton is here
5 with his dog, Chulip (ph.), that helps him in
6 his day-to-day life.

7 As you know, the FDA recently approved
8 this drug, Exondys, and it treats Dalton's
9 specific mutation; 48 through 50 are his
10 missing exons. It skips 51 and puts together
11 47 and 52, and lets him express and make
12 dystrophin.

13 Dalton is very lucky to have this specific
14 treatable mutation. Like Dr. Fernandez just
15 mentioned, only 13 percent of the entire
16 Duchenne population is amenable to the skipping
17 of exon 51 and eligible for this treatment.

18 Based off of my son's genetic report and
19 Dr. Fernandez' years of experience in treating
20 patients, such as my son, he has recommended my
21 son for treatment. You've heard his testimony.

22 Since the FDA approval was granted four
23 months ago, we've seen three separate denials
24 in tireless efforts by myself, my doctor and
25 this clinic. Sunshine Healthcare just finally

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 approved Dalton, his prior authorization, for
2 last Thursday. Even though they only gave us a
3 a three-month supply of that drug to start,
4 Dalton will receive his first infusion next
5 week. This is huge for our family.

6 I understand the purpose of today's
7 meeting is to review the evidence surrounding
8 Exondys 51 and to begin drafting a policy for
9 the use and reimbursement of Exondys in
10 Duchenne patients amenable.

11 Thank you for taking the time to hear from
12 families and patients and for taking our
13 perspectives into consideration. Given that
14 Duchenne is such a rare and complex disease and
15 that there has never been before an
16 FDA-approved treatment for this disease, I urge
17 you to also listen to the small number of
18 medical experts who have dedicated their lives
19 to treating children and young men with
20 Duchenne. Dr. Fernandez is one. Dr. Byrne of
21 the University of Florida Medical Center,
22 Dr. Giordano, at Numerous Hospital, Dr. Finkel,
23 and Dr. King, who is at Gainesville Medical
24 Center are some of the Duchenne experts located
25 in Florida who have provided written testimony

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 and are willing to provide guidance and
2 recommendations to Florida's policy for
3 Exondys 51.

4 While I encourage you to take time to hear
5 from all of these experts and make thoughtful
6 policy decisions based off their guidance,
7 please do not take too much more time. The
8 Duchenne community and the state of Florida
9 cannot afford to wait any longer to access this
10 drug.

11 During this period of information and
12 evidence gathering, I also urge you to review a
13 recent Medicaid policy that was established in
14 California in collaboration with their Duchenne
15 expert for Exondys-51-amenable residents who
16 are on California state's Medicaid. Medi-Cal
17 created this policy after robust engagement and
18 communication with experts and patients and
19 provided Medi-Cal with a better understanding
20 of the natural history and -- anyway, the
21 natural history of how it happens in Duchenne,
22 the mechanism of action in exon 51 and how this
23 therapy could affect patients in all stages of
24 the disease progression.

25 Another example is that the Pennsylvania

1 Medicaid agency engaged the clinical experts to
2 draft their state policy as well. Washington
3 state and Louisiana have also done the same.

4 Typically, plans which have consulted with
5 experts have resulted in policies reflective of
6 FDA-approved and approving drugs for patients
7 that will likely show a benefit.

8 I also want to remind you that your
9 decisions today will affect whether or not
10 Dalton is reauthorized by Sunshine Health Care
11 to continue with treatment beyond the current
12 three-month approval that we've been granted.

13 Dr. Fernandez, Dalton and I are all well
14 aware that your decision here, today, on policy
15 will have a direct impact on whether or not he
16 gets to continue the drug past three
17 months. The longer Dalton and other young men
18 with Duchenne wait for Exondys 51, the more
19 muscle function they lose. Their losses are
20 irreversible. Once lost, the patients never
21 gain skills that they once had, like, walking.
22 Dalton lost his ability at age 10. He's now
23 21.

24 THE CHAIRPERSON: I'm sorry, I have to cut
25 you off. Thank you so much for your time.

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 PUBLIC SPEAKER: I won't take up a lot of
2 your time. I'm piggy-backing upon
3 Dr. Fernandez and Ginger with Dalton.

4 My name is Joe Wilshire. I'm active duty
5 military. I'm a single father. My son was
6 diagnosed with Duchenne muscular dystrophy at
7 the age of 7. He is currently on Exondys 51,
8 eteplirsen. He's been in the trial for --
9 today was his 107th dose.

10 My son is 13 now. He's still able to get
11 up from his chair and walk to the bathroom on
12 his own. That's not typical. You can ask the
13 lady right here how important that is. Just
14 little things. He's able to open a bottle of
15 water on his own, which is not typical.

16 These boys need this drug to maintain what
17 they have. And in some instances, he may gain
18 things. My son has fallen and hurt himself
19 really bad and has recovered. That's not
20 typical for Duchenne.

21 He's never going to run a marathon. He's
22 never going to do any of those things. He's
23 not going to be your typical kid, playing
24 football or anything like that. But he can
25 take care of himself at his house now.

1 The drug is expensive. I don't know the
2 dollar amounts. That's all you-all's type
3 thing. I'm more of a witness on what the drug
4 does. I have nothing scripted for you. I can
5 just go by my experience. I can talk to you
6 guys after if that's what is needed as well.

7 It affects everybody differently. All
8 drugs -- aspirins work for some people and
9 don't work for others and what not, so there's
10 going to be the variety of difference in it.
11 But, I think, regardless of whether they're in
12 a chair already permanently or not, it
13 shouldn't exclude these boys from a chance.

14 My son's pulmonary functions have
15 improved. Not typical. Cardiology functions,
16 his heart, maintained. Not typical, not for
17 his age.

18 So I don't waste any more of your time,
19 please, really, really consider what these
20 people are talking about. These are boys who
21 deserve a chance and a dollar amount shouldn't
22 affect that chance. If you guys have children,
23 your children have a chance.

24 So that's what I've got to say.

25 THE CHAIRPERSON: Thank you. Thank you

1 for your comments and your time.

2 Any additional public comments?

3 Hearing none. We're going to transition
4 back to Open Discussion topics for next
5 quarter.

6 I'm sorry. Arlene?

7 MS. ELLIOTT: Yes. I just want, for the
8 record, for everybody to know that the draft
9 criteria that the members have received was a
10 compilation of other state's Medicaid
11 commercial plans. We received the one from
12 California Medicaid yesterday, so the committee
13 members haven't seen it yet.

14 So I don't know how you want to proceed,
15 do an interim meeting or via email. But I have
16 also Dr. Martarana's recommendations with your
17 letter there.

18 THE CHAIRPERSON: I would make a motion to
19 have an interim meeting.

20 DR. ZITIELLO: Second.

21 THE CHAIRPERSON: Okay. We'll move to our
22 Open Discussion topics now.

23 Any topics?

24 MS. HARRIS: Mr. Vice Chair, you have to
25 have a vote on your motion.

1 MS. HARRIS: I'm sorry. You're right.

2 I will rescind my motion. I don't think I
3 can -- yeah, I'm going to rescind my motion.
4 Basically someone else has to make it.

5 DR. ZITIELLO: I move to have an interim
6 discussion on these policies.

7 DR. ROMAY: Second.

8 THE CHAIRPERSON: All right. The motion
9 has been moved and properly second. All those
10 in favor, please say aye.

11 THE COMMITTEE: Aye.

12 THE CHAIRPERSON: Now, we are at the Open
13 Discussion.

14 DR. HAYDEN: So at the last P&T, I saw
15 that one of the GLP-1 agents was removed from
16 the Preferred Drug List. The Bydureon, I
17 believe. It said, long acting.

18 MS. ELLIOTT: I'm sorry, I was --

19 DR. HAYDEN: At the last P&T, the
20 formulary update revealed a Bydureon GLP-1
21 agent was removed from the Preferred Drug List.

22 MS. ELLIOTT: Was it both formulations? I
23 can't remember.

24 DR. HAYDEN: And so we have no GLP-1
25 agents available currently on the Preferred

1 Drug List. So I have to do prior
2 authorizations for all my patients. It's time
3 consuming, but I'm doing them.

4 MS. ELLIOTT: Was it the pen versus the
5 vial by any chance? Let me look it up.

6 DR. HAYDEN: Yeah, because right now, I
7 think it was the pen. I think that was what
8 was available before. And then it went to
9 non-preferred. And so now, I'm completing
10 prior auths.

11 DR. ROMAY: I believe the vial is
12 preferred and the pen is non-preferred. It's
13 the vials. It the formulation.

14 MS. ELLIOTT: Yeah, the P&T -- it was a
15 financial decision by P&T.

16 DR. HAYDEN: So the vials are on there.
17 So if she has the connect, she can inject.

18 The patients have to mix it themselves
19 now? Because I didn't see that on the
20 formulary. I just saw --

21 DR. ROMAY: Yeah, the 2 milligram vial is
22 the one that's on the formulary. It's the
23 vial, which is the same thing. It's just a
24 different formulation. It's just the
25 formulation.

1 DR. GOODNOW: We already mentioned it but
2 I think the classes are very helpful, very
3 interesting to take a look at. And I think
4 looking at the number of patients and the
5 number of scripts in addition -- will help us
6 make some recommendations for that class.

7 THE CHAIRPERSON: I actually -- I'm sorry.
8 Did you have any recommendations, Luis?

9 DR. SAENZ: No.

10 THE CHAIRPERSON: Alfred?

11 DR. ROMAY: I'd like to bring
12 back Hepatitis C in terms of retreatment. And
13 also, the current change in the criteria is to
14 the black box warning on the reactivation of
15 Hep B. I think the criteria -- and I think I
16 reached out initially to make some
17 recommendations on adding things to that
18 criteria, but I think we need to bring that
19 criteria back to the board and relook at it to
20 see -- because there's a lot of things that
21 need clarification in terms of products
22 and certain retreatment and certain other
23 scenarios that are not really evident on the
24 criteria or spelled out.

25 THE CHAIRPERSON: Dr. Hayden?

1 DR. HAYDEN: I'm looking at the formulary
2 guide.

3 THE CHAIRPERSON: Elboni, are we at our
4 quota for --

5 DR. MOORE: I am the quota keeper.

6 So I've been taking my notes. I have the
7 Embeda utilization. What are patients taking
8 now? How do they get to the other agents? And
9 criteria development for that. That's
10 something that Magellen would handle as a
11 quarterly topic.

12 And there's follow-up on the SAMSCA
13 utilization to see what types of providers have
14 been requesting these products.

15 There's homework for the committee to
16 reviews vasopressin receptor antagonist
17 criteria, specifically, Vaprisol and also
18 Transderm Scop criteria.

19 And then, another follow-up item for
20 Magellan regarding the top 10 classes are to
21 bring in the recipients, the claims, the dollar
22 amount, possibly the PDL status, which I think
23 that would be helpful for you guys to see that
24 for some of those products.

25 And also, Dr. Romay's Hep C development,

1 specifically around retreatment. He wants to
2 look back at the criteria to see if there's a
3 need to enhance the criteria. So that is not
4 necessarily a quarterly topic. That's just
5 follow-up. So you still have two more.

6 THE CHAIRPERSON: I just want to introduce
7 one other thing. I think this was tabled from
8 the last meeting, particularly since you
9 weren't able to list the top 10 therapeutic
10 classes.

11 I'm looking at antipsychotics and the
12 fee-for-service as the top -- it's the second
13 most expensive nonclass -- I suspect a large
14 percentage of that are LAIs, so Abilify and
15 Respidol and all of those are generic now.

16 I guess the question that I would like to
17 propose to the state is, you know we're
18 spending money on LAIs. I can tell you, in our
19 plan, even though it requires a prior
20 authorization, our approval rates are close to
21 96 -- 97 percent.

22 But I guess what I'm looking to know is,
23 since we're paying for these agents, they are
24 approximately \$15- to \$1800 per injection. Are
25 the patients being compliant? How many of

1 those patients or still compliant on a dose
2 post six months or something?

3 Because if we're essentially making the
4 investment to make sure that they're compliant
5 for that first 30 days, but they're not coming
6 back in, it kind of defeats the purpose and
7 perhaps plans may want to take a different
8 approach, put them in case management, et
9 cetera, et cetera.

10 DR. MOORE: Okay.

11 DR. ROMAY: Two more. I know we have room
12 for two more.

13 THE CHAIRPERSON: We just have room for
14 one more.

15 DR. ROMAY: Well, I can mention it and we
16 can always, I guess, talk about it.

17 One item is, I know I previous -- a
18 couple, couple, couple meetings before -- we
19 had discussed, before Humera went preferred and
20 Embril went non-preferred, we had talked about
21 having -- I don't know if this -- I know we
22 created auto PA criteria but I think we had
23 once talked about creating specifically a
24 criteria for rheumatoid arthritis diagnoses.
25 And I think, right now, it's just the PA -- the

1 auto PA is just strictly if you have these
2 diagnoses, it pays. But I think we had talked
3 about the need for making sure that those
4 members are adhering to just the gold
5 standards, which are the DMARDS, you know,
6 things like that.

7 I don't know if that was something that we
8 just phased out because we moved to a different
9 approach.

10 DR. MOORE. It boiled down to the specific
11 contracting language and I can't get into that.

12 DR. ROMAY: Okay.

13 DR. MOORE: That was negotiated upon, so
14 we had to move away from that approach and go
15 with the method that we went with.

16 DR. ROMAY: Okay.

17 And then my second one was surrounding the
18 bupropion products. So on the criteria, I
19 think -- I don't know if I remember seeing this
20 on here, but I think it was just updated where
21 they added some film was where the preferred
22 product was redirected to.

23 So I was wondering what led to that? It
24 was just, you know, the pricing, or is it just
25 a brand preferred. Because the bupropion

1 tablets are available in a generic form.

2 MS. ELLIOTT: Right. It was a financial
3 decision.

4 DR. ROMAY: Okay. I just wanted to check.
5 That's what I thought it was, but I wanted to
6 verify that it was okay on that.

7 MS. ELLIOTT: But it's preferred with a
8 clinical PA, just for the record.

9 DR. ROMAY: Right. Right. Right. Right.
10 Right. Because I know before, that wasn't on
11 there. So I know it was just recently done.

12 Okay. Thank you.

13 THE CHAIRPERSON: Okay. I think that
14 pretty much wraps up the open discussion.
15 Before we adjourn here, a couple of thank yous.

16 No. 1, thank you to the attendees for
17 coming. Obviously, you could be somewhere
18 else.

19 I want to thank those who came up for the
20 public comments. I think those were very
21 educational and certainly powerful.

22 I would like to thank AHCA, obviously, for
23 assembling these meetings.

24 And thank the committee. As you know, our
25 chair is unable to make it today, so we thank

1 you for having confidence in me to
2 honerate (sic) this meeting.

3 With that being said, I need a motion to
4 adjourn.

5 DR. HAYDEN: Motion to adjourn.

6 DR. ZITIELLO: Second.

7 THE CHAIRPERSON: Was that a third by
8 Alfred?

9 Meeting adjourned. Thank you.

10 (Thereupon, the proceedings were
11 adjourned at 4:18 p.m.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130

1 CERTIFICATE OF REPORTER

2 STATE OF FLORIDA

3 COUNTY OF HILLSBOROUGH

4

5 I, JUANITA BUTLER, Court Reporter, certify that
6 I was authorized to and did stenographically report
7 the foregoing proceeding; and that the transcript is
8 a true record of said proceeding.

9

10 I FURTHER CERTIFY that I am not a relative,
11 employee, attorney, or counsel of any of the
12 parties, nor am I a relative or employee of the
13 parties' attorneys or counsel connected with the
14 action, nor am I financially interested in the
15 action.

16

17 Dated this 17th day of March, 2017.

18

19

20

21

22

23

24

25

JUANITA ANNETTE BUTLER
Stenographic Court Reporter
INTEGRA REPORTING GROUP, LLC.
Notary Public, State of Florida
Commission No. FF 944824
Expires: December 21, 2019

INTEGRA REPORTING GROUP, LLC
Tampa, FL (813) 868-5130